

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

EXPERT PANEL MEETING ON BIOMARKERS
OF ASBESTOS EXPOSURE AND DISEASE

VOLUME I

The verbatim transcript of the meeting, moderated by Fernando Holguin, taken by Diane Gaffoglio, Certified Merit Reporter, held at 1825 Century Boulevard, Room 1 A/B, Atlanta, Georgia, at 9:00 a.m. on Tuesday, May 9, 2006.

NANCY LEE & ASSOCIATES
Certified Verbatim Reporters
P. O. Box 451196
Atlanta, Georgia 31145-9196
(404) 315-8305

This record
was taken
and
produced
via



C O N T E N T S

Volume I
May 9, 2006

PARTICIPANTS (in alphabetical order).....	3
WELCOME, GOALS OF MEETING, AND INTRODUCTIONS	
Dr. Forrester.....	5
Dr. Dyken.....	7
Dr. Wheeler.....	9
Dr. Kapil.....	14
INTRODUCTION OF PANELISTS AND REVIEW OF CHARGE	
Dr. Holguin.....	19
PUBLIC/OBSERVER COMMENT PERIOD.....	27
PANEL DISCUSSION	
Fiber Burden of Lung Tissue.....	29
Fiber Content: Sputum Samples.....	93
Fiber Content: BAL Fluid.....	111
Fiber Analysis Techniques.....	141
Counting Asbestos Bodies.....	149
Blood Mesothelin or Osteopontin Levels.....	158
Clinical Tests: Spirometry and X-ray.....	183
WRAP-UP	
Dr. Holguin.....	217
ADJOURN.....	219
CERTIFICATE OF THE REPORTER.....	221

P A N E L I S T S

(In Alphabetical Order)

JERROLD ABRAHAM, M.D.
Professor of Pathology
SUNY Upstate Medical University
Syracuse, New York

MICHELE CARBONE, Ph.D.
Director, Thoracic Oncology Research
Loyola University Medical Center
Cardinal Bernardin Cancer Center
Maywood, Illinois

VINCENT CASTRANOVA, Ph.D.
Chief, Pathology and Physiology Research Branch
CDC-NIOSH
Morgantown, West Virginia

RONALD DODSON, Ph.D.
President
Dodson Environmental Consulting
Tyler, Texas

MICKEY GUNTER, Ph.D.
Professor of Mineralogy
University of Idaho
Moscow, Idaho

GUNNAR HILLERDAL, M.D.
Professor
Karolinska University Hospital
Stockholm, Sweden

VICTOR ROGGLI, M.D.
Professor of Pathology
Duke University Medical Center
Durham, North Carolina

LESLIE STAYNER, Ph.D. (Telephonic Appearance)
Professor and Director of Epidemiology and Biostatistics
University of Illinois at Chicago
Chicago, Illinois

NANCY LEE & ASSOCIATES

DAVID WEISSMAN, M.D.
Director, Division of Respiratory Disease Studies
CDC-NIOSH
Morgantown, West Virginia

Legend of the transcript:

[sic]	Exactly as said
[phonetic]	Exact spelling unknown
--	Break in speech continuity
...	Trailing speech or omission when reading written material
[inaudible]	Mechanical or speaker failure
[microphone]	Speaker is off microphone

P R O C E E D I N G S

9:03 a.m.

1
2
3 DR. FORRESTER: Good morning, everyone. Can you hear
4 me well?

5 DR. HOLGUIN: Yes.

6 DR. FORRESTER: Okay. I'd like to welcome all our
7 guests and our panelists to our first meeting on Expert
8 Panel of Biomarkers of Asbestos Exposure. We are very
9 pleased we have this very prestigious group to help us
10 address this question. We have with us our site team, and
11 we have many visitors from across the United States that
12 are very interested in this topic. At this current time,
13 ATSDR is embarking on several sites where asbestos
14 exposure is becoming a growing concern.

15 The issue we have is, is that we work closely with
16 the communities to tell them the health effects they may
17 expect from exposure. But asbestos is not a simple
18 question to answer to the community. What are your likely
19 health effects because the latency of disease seems to
20 take a long time? So we're asking you all to help us
21 determine if there is a way to assess exposure at an
22 earlier period in time to give a community some idea if
23 they may have potential health effects.

24 We have with us today the site team, who is going to
25 give us a brief overview of how this journey began for

1 this agency. We're working now with naturally occurring
2 asbestos, which is much different than the occupational
3 exposures that most people encounter.

4 I'd like to briefly go over some of the ground rules
5 for our meeting. Our expert panel will be doing
6 discussions on the charge that we have given them.
7 There's about five to six charge questions that they will
8 extensively discuss throughout this meeting and, at the
9 conclusion, will give us recommendations and key ideas of
10 how to proceed.

11 We also will have an opportunity for the observers to
12 make comments. There are two periods. There's one this
13 morning. There's one tomorrow. If you would like to make
14 comments to the record, you need to sign up with Erin.
15 She's the lady in the black sweater. She's out front. So
16 you need to register for the comment period. So if you
17 have any comments today, before ten, please go out and
18 register with her.

19 There will not be questions from the audience to the
20 panelists, but they can take in account what the observers
21 say in their discussions. So this will mainly be
22 observing the discussions of the panel. The team will be
23 allowed to interface with Dr. Holguin, who is our
24 moderator, and help guide the discussion so it stays on
25 track to the particular questions we were addressing in

1 the charge.

2 So right now, for housekeeping procedures, if you go
3 back through the lobby and to the left through the wood
4 door, there are restrooms and vending machines for water,
5 snacks, whatever. We will break on schedule. There are a
6 lot of local restaurants within walking distance to the
7 building. We will start and stop on time, according to
8 the agenda.

9 So I would like to introduce the team now to give you
10 an overview of how we began this journey. I'd like to
11 start with Dr. Jill Dyken, Dr. John Wheeler, Dr. Vik
12 Kapil, and Commander Susan Muza, our site team. And our
13 first adventure with naturally occurring asbestos was El
14 Dorado County, California, and they've all extensively
15 worked on those sites for over a year now.

16 So, Jill, would you like to start, please.

17 DR. DYKEN: Thanks, Tina. Hi, everyone. I'm Jill
18 Dyken. And I'm just going to give you just a couple of
19 slides, a little background of how ATSDR began getting
20 involved in asbestos issues and leading up to the
21 formation of this panel. Okay.

22 ATSDR's mission is to prevent or reduce harmful
23 exposures to the public to hazardous substances in the
24 environment, which, of course, asbestos falls into this
25 category. Since about 1999 -- oh, thank you. That's

1 better, isn't it?

2 Since about 1999, we've been evaluating an increasing
3 number of asbestos-related sites. And that basically
4 began with the Libby, Montana, which was a very large
5 vermiculite mine in extreme northwestern Montana. The
6 vermiculite was contaminated with asbestos, and the
7 materials were used throughout the community, resulting in
8 very high exposures there and a number of health-related
9 impacts in that community. An outshoot of that was that
10 many, many sites over the United States processed the
11 Libby vermiculite.

12 So we have those kind of exposures spreading out all
13 over the United States and the world. And this shows the
14 sites that process the Libby vermiculite, and the stars
15 show prioritization of sites the ATSDR has been
16 evaluating. So again, in this, it was typically
17 occupational exposures to the contaminated vermiculite.
18 The exposures are known to be pretty high, especially for
19 the workers.

20 Next -- and growing out of that, we started getting
21 questions about naturally occurring asbestos because, as
22 you know, asbestos is a naturally occurring mineral. It's
23 present in many places throughout the country and the
24 world. And this map shows -- the red dots show,
25 documented in the literature, instances of naturally

1 occurring asbestos. And then the kind of yellow marks
2 there show housing starts. This is becoming more of a
3 problem because, as we develop more and more, these
4 materials can get disturbed, and that's when they can
5 cause a health impact.

6 So with naturally occurring asbestos, it's starting
7 to get a little bit more complicated because the exposures
8 aren't as well characterized. People are moving in and
9 out. There's changing conditions. And also there's, you
10 know, different types of asbestos that might cause
11 different things. So it's a little bit more complicated
12 than the Libby situation.

13 So that's currently what we're wrestling with. But
14 now I'm just going to turn it over to John Wheeler, who's
15 going to talk a little bit about some of the questions
16 we've been getting.

17 DR. WHEELER: What makes this go forward?

18 DR. DYKEN: Page down.

19 DR. WHEELER: I wanted to just briefly mention a few
20 sites that we're working on and some of the common themes
21 that we hear from communities at these sites. This is El
22 Dorado County in California. And you can see on the map
23 here the Oak Ridge High School. We got involved with this
24 about three years ago. There's several people here in the
25 audience that are working extensively on this area of

1 California.

2 What occurred here was -- over in this area, a soccer
3 field was built, and they hit a naturally occurring vein
4 of amphibole asbestos that runs along this ridge line when
5 they built that soccer field, and some of this material
6 moved into this school.

7 This is Swift Creek in Washington, upstate
8 Washington. There's a -- you can't see it in this picture
9 very well, but there's a mountain out here that has had an
10 avalanche occur, and material moved down this mountain
11 that was contaminated with a chrysotile vein. And
12 essentially, it filled up this creek. And the creek is a
13 major drainage for this entire area in here, and without
14 the creek there, a lot of flooding was occurring. So they
15 came in and dredged this creek and piled up material along
16 this creek, which is quite high in chrysotile. And now
17 those piles serve as a wonderful place to play on your ATV
18 or do recreating.

19 This is Ambler, Alaska. We're about 45 miles north
20 of the Arctic Circle. This is Kobuk River that runs over
21 to Barrow. If you can see this road in here, this road
22 runs out to a airport. And then on the other side of the
23 airport is a quarry. And they used that quarry to gravel
24 all these roads. About four or five months of the year,
25 this area is free of snow and it gets pretty muddy, and

1 they use gravel from that quarry. Well, the quarry is
2 contaminated with chrysotile. And there's some very high
3 levels of chrysotile on all these roads. So we're running
4 into these kinds of problems.

5 It doesn't matter if we're talking to the Inuits in
6 Alaska or if we're talking to the suburbanites outside of
7 Sacramento or whether we're talking to community members
8 at the end of the runway in Saint Louis that are having
9 their houses demolished, we hear these same common themes
10 over and over and over again, these same questions.
11 They're very simple questions. They're almost eloquent in
12 their simplicity, but they are so very hard to answer.

13 They ask us if you can test them to see if they've
14 had exposure, what kind of tests that we have available.
15 And if they are exposed, they want to know if that level
16 is going to cause them problems and whether or not the
17 entire community is being exposed from the kinds of
18 activities that are going on there.

19 We also get a lot of questions about whether we can
20 come there and do a health study. And Dr. Kapil is going
21 to talk, in a few minutes, about some of our experiences
22 with health studies. The only way that we can answer
23 these questions is we have two approaches that we have
24 used. One, the first approach, that I would call an
25 epidemiological approach, similar to what we did in Libby.

1 We saw disease there. We went in, investigated that site
2 and found exposures and tried to limit the exposures. But
3 that's certainly not the direction we want to take. We
4 don't want to wait until disease is prevalent to go out to
5 a site and make some kind of recommendations on exposure.

6 The other type of exposure is what I would call the
7 health assessment or risk-assessment paradigm, in which we
8 go into a community and we try to estimate the exposures
9 that are going on there and try to link those exposures to
10 some estimate of risk. This, of course, is fraught with
11 all kinds of uncertainty and problems. If you look at
12 risks, there's all the questions about the epidemiology
13 studies that are used that we base the risk on and whether
14 those studies -- the analytical procedures there are the
15 same as the analytical procedures we use now.

16 And in asbestos toxicity, since it's not a single
17 chemical entity, we have other problems that we have to
18 worry about: mineralogy, morphology, fiber-size
19 distribution. All these are confounders that lead to
20 uncertainty in our estimation of risk. On the exposure
21 side of the equation, we have uncertainties, of course,
22 associated with the analytical techniques and abilities,
23 but we also have a problem that most of the exposure data
24 comes as population data or at least a subpopulation. We
25 may be looking for a central tendency, or we may be

1 looking at an upper confidence level. And that doesn't
2 say much for the individual. And when we make
3 recommendations to community members to go see your
4 physician and talk to your physician about your exposures,
5 we don't have the kind of test there for an individual to
6 look at their own exposures.

7 We also have problems with how best to examine the
8 exposures that are going on in those communities and --
9 and how we evaluate media. A lot of tests we get back are
10 from soil levels. But how do we correlate that to the
11 risk that is caused from inhalation exposures? In the
12 recent years, we have taken an approach of looking at
13 activity-based sampling, which is simply -- if we're EPA,
14 you hire a contractor, or if you're ATSDR, you go out and
15 buy a dust mask.

16 And you go out, put on a personal sampler, and
17 participate in activities that you think that would lead
18 to exposure and measure the kinds of exposure that are
19 going on there. We think that's the right direction, but
20 we're still left with these uncertainties.

21 What I hope we don't do in the next two days is dwell
22 down into these uncertainties and the limits of the types
23 of assessments that we're doing right now. I'm going to
24 leave that up to Aparna to take care of when she looks at
25 the IRIS update of all the -- of all the parameters that

1 go into those kinds of exposures.

2 What I hope we do is we take a look at other ways
3 that we can measure exposure and other ways that we can
4 measure disease and, most importantly perhaps, link that
5 kind of exposure data that we can get from whether we're
6 using lung fiber burdens or whatever we've discussed to
7 the disease prevalence in the community.

8 So with that, Dr. Kapil.

9 DR. KAPIL: Thank you, John. Okay. It's my pleasure
10 to be here to join you-all today, and I'd also like to
11 thank the panel members as well as the observers and
12 visitors that are here for giving us your time for the
13 next couple of days. We greatly appreciate it. What I'd
14 like to do is talk to you a little bit about where we have
15 been in terms of assessing health impact of these
16 exposures.

17 Jill talked to you a little bit about our work in
18 Libby and related sites. So I'm going to delve into that
19 a little bit more in terms of specifically what we've done
20 in terms of health studies related to Libby and the Libby
21 sites and also tell you a little bit about what we have in
22 planning stages or are already initiated related to these
23 sites. Most of the health studies work that we have done
24 to date is related to Libby and the vermiculite sites.

25 You've seen this map. These are the 200-plus sites,

1 and we're focused right now on these for some
2 epidemiologic activities, like health statistics reviews,
3 about a hundred of these. We're particularly focused on
4 28 -- what we've called Phase I sites -- the sites that on
5 Jill's map actually had stars.

6 So the -- in Libby, in 2000 and 2001, we did a fairly
7 comprehensive community medical screening. That
8 screening, for those of you that may have actually seen
9 this in the literature -- and there are several of the
10 co-authors that are actually here -- consisted of history,
11 including health, environmental, and occupational health
12 history; a chest x-ray, which was read by a panel of
13 B-readers; and spirometry.

14 We've done other work related to Libby. We've done a
15 study on the usefulness of CT scanning. We've looked at
16 some -- a case series of environmental cases. We have an
17 ongoing medical-screening program very similar in design
18 -- not exactly the same, but very similar in design -- to
19 the original medical screening. And that's ongoing in the
20 Libby area. And we've developed a registry, which is
21 called the Tremolite Asbestos Registry, or the TAR, in
22 which we've enrolled eligible persons from the Libby
23 community.

24 I'm not going to -- this isn't an exhaustive list.
25 I'm not going to talk, for example, about our

1 epidemiologic activities. We're going to focus, for the
2 purposes of this discussion, on specifically on the -- on
3 the health-study types of activities.

4 The medical screening, as I mentioned, included chest
5 x-ray with a B-reading panel, spirometry. We screened
6 over 7300 people. Not every single one of those
7 individuals had a chest x-ray, but over 6,000 people
8 actually had chest x-rays. Most of those participants had
9 multiple exposure pathways. And overall, we found that
10 the prevalence of pleural abnormalities in Libby was
11 nearly 18 percent.

12 The prevalence -- if you looked at specific groups,
13 such as workers or household contacts, the prevalence was
14 much, much higher than 18 percent. So 18 percent is sort
15 of across the board prevalence of pleural abnormalities.

16 We are also working on a similar -- not exactly the
17 same, but a similar screening at one of the vermiculite
18 sites in Ohio, in Marysville, Ohio. This was sort of a
19 serendipitous thing because in 1980 -- a screening of
20 these workers at this facility in Ohio was actually done
21 back in 1980, and we had all of those records. So we
22 repeated -- about a year and half ago, we repeated chest
23 x-ray and spirometry on those individuals, at least the
24 ones that were living and were willing to participate, and
25 we compared those to the 1980 findings.

1 This work isn't complete yet. The data collection is
2 complete, but the data analysis is under way. I can share
3 some preliminary results with you. The preliminary
4 results: We found that 26 percent of the individuals,
5 workers, actually have pleural abnormalities. This
6 compares to maybe something like 1 to 2 percent back in
7 1980, when the original screening was done; same protocol
8 in a panel of B-readers.

9 In all of these, both in Libby as well as in
10 Marysville, we have seen -- relatively speaking, we've
11 seen very little interstitial disease. Most -- most of
12 the findings have been pleural in nature. And also, on
13 spirometry as well -- although the spirometry data hasn't
14 been totally evaluated yet, but the spirometry, the
15 restrictive findings are also relatively less prevalent as
16 compared to the pleural disease.

17 We are -- we are conducting a mortality review for
18 deceased workers in Marysville, and this is really the
19 first clear evidence of asbestos-related disease in
20 workers at sites outside of Libby. Of course, no big
21 surprise to most of the people in this room, but it's the
22 first documented evidence. We have a number of things
23 under way that I want to share with you. One is that we,
24 of course, intend to complete the Marysville mortality
25 review. Depending on the availability of funding, we'd

1 also like to consider screening the household contacts of
2 the workers in Marysville. This is a fairly simple
3 proposition in Marysville, of course, because we have a
4 fairly complete list of workers. And we've already been
5 in touch with and screened those workers.

6 We have funded a screening of community residents in
7 Minneapolis. This will be the first time that we've
8 actually looked at residents of the community, not workers
9 or household contacts, but residents of the community in
10 the area immediately around the facility. And that should
11 begin later this year. We are also -- again, depending on
12 the availability of funding, we are also contemplating and
13 actually are planning some screening at other vermiculite
14 sites, hopefully at least a couple of additional sites to
15 begin later this year, primarily, again, focusing on
16 workers and household contacts and conducted in a very
17 similar way to what we've done before in Marysville and in
18 Libby: history, health history, environmental/occupational
19 health history, x-rays, and a B-reader panel as well as
20 spirometry. And, of course, we plan to continue our
21 screening and registry activities in Montana.

22 In addition, we have a number of other epi activities
23 going on; for example, mesothelioma surveillance, piloting
24 in three states, health statistics reviews. But those
25 we'll leave for some future discussion.

1 That's all I have, and I just -- hopefully that's
2 helpful to you-all; give you some idea of where we're at
3 today and where we hope to be going in the upcoming year.
4 Thank you.

5 DR. FORRESTER: Thank you. I'd like to introduce our
6 moderator. It's Dr. Fernando Holguin. He is a -- he's
7 independent from ATSDR. He works as a fellow at the
8 National Center of Environmental Health in the respiratory
9 effects branch. He's also a practicing pulmonologist at
10 the Emory School -- Emory Medical School. And he is going
11 to lead our session today. Fernando.

12 DR. HOLGUIN: Thank you. Good morning and welcome.
13 To begin, I would like to ask the members of the panel to
14 introduce themselves, their affiliation, and provide in
15 two sentences their main area of expertise, if possible,
16 just to keep us on track.

17 DR. ABRAHAM: Hi. I'm Jerry Abraham. I'm a
18 pathologist and professor of pathology at the State
19 University of New York, Upstate Medical University in
20 Syracuse. And I've been interested in occupational and
21 environmental dust-related diseases since working with
22 NIOSH back in the 1970s and have done studies related to
23 fiber burden analysis in humans and animals.

24 And most related to this, I've done a study of
25 animals exposed to asbestos in the El Dorado area in

1 California. And also, we reported a case of a worker at
2 the expansion plant in Glendale, California, whose only
3 exposure was to that material who died of asbestosis and
4 of especially strong pleural disease back in 2002. So
5 that -- that was really the first reported fiber-analysis
6 case of an expansion plant worker that I'm aware of.

7 And I'm very interested in how to reconcile all the
8 knowledge we have about exposure with attempts to do risk
9 assessment.

10 DR. CARBONE: Good morning. I'm Michele Carbone. I
11 am a professor of pathology and am the director of
12 thoracic oncology at Loyola University in Chicago --
13 actually, at the University of Hawaii starting June 1st.
14 My -- I -- too, I'm a pathologist. Most of my work is to
15 conduct research on mesothelioma. I am the principal
16 investigator on a P01 from the NCI. The title is
17 Pathogenesis of Mesothelioma in the PI, and my co-
18 investigators are Sir Brooke Mossman at the University of
19 Vermont, Joe Testa at Fox Chase Cancer Center, and Harvey
20 Pass at NYU.

21 In -- in addition -- in P01, we study how different
22 factors interact to cause mesotheliomas. My research is
23 entirely funded by the NIH and the American Cancer
24 Society. I also am the PI of two other ones. In one of
25 them, we study the interaction between SV40 and asbestos

1 and the pathogenesis of mesothelioma. And in the other
2 P01, we study the contribution of SV40, per se, to the
3 pathogenesis of mesothelioma.

4 In the studies that are funded through a grant by the
5 American Cancer Society, we are studying an epidemic of
6 mesothelioma in the villages of Karain, Tuzkoy, and
7 Sarihidir in Cappadocia, Turkey, where 50 percent of
8 people die of malignant mesothelioma, and now this grant
9 -- this project will continue to be peaked out by the P01
10 that we just got awarded by the National Cancer Institute.

11 DR. CASTRANOVA: Good morning. I'm Vincent
12 Castranova. I'm chief of the pathology and physiology
13 research branch at the National Institute for Occupational
14 Safety and Health in Morgantown, West Virginia. I'm a
15 pulmonary toxicologist. I've been involved for the last
16 28 years in looking at effects of various particulate
17 matters on lung effects.

18 DR. DODSON: Good morning. My name is Ron Dodson. I
19 spent approximately 27 years in academia before my
20 retirement last fall. The major thrust of my emphasis,
21 being an environmental scientist, focusing on particulate
22 burden and tissue and other body fluids, but with the
23 major portion of that in quantitation of asbestos burden
24 and tissue. I am, by training, an electron microscopist
25 and continue to do research in my present role as the

1 president of Dodson Environmental Consulting.

2 DR. GUNTER: I'm Mickey Gunter. I'm a professor of
3 mineralogy from University of Idaho. My major interest in
4 all of this really relates to the
5 characterization/identification of most of these minerals
6 involved in these health-based studies. By training, I
7 was a light microscopist, but like a lot of people
8 involved with these areas, we use light microscopy,
9 electromicroscopy, x-ray diffraction, all the different
10 analytical methods we can to try to identify and
11 characterize these minerals. So my major contribution to
12 this, I hope, will be in providing some mineralogical-
13 based information.

14 DR. HILLERDAL: Good morning. I'm Gunnar Hillerdal
15 from Sweden. I'm a pulmonologist and a clinician mainly,
16 but I've also done some research on asbestos. My thesis
17 came in 1980 and was about pleural plaques, and since
18 then, I have been studying asbestos-related diseases and
19 changes and published some papers on this. And I'm
20 working at the Karolinska Institute in Stockholm.

21 DR. ROGGLI: Good morning. Victor Roggli of --
22 professor of pathology at Duke University Medical Center
23 and Durham VA Medical Center in Durham, North Carolina.
24 I've been interested in asbestos-related diseases for
25 about 30 years and have been involved in analyzing lung

1 tissue samples, correlating them with disease, and with
2 various types of occupational exposures.

3 DR. WEISSMAN: I'm David Weissman. I direct the
4 Division of Respiratory Disease Studies at the National
5 Institute for Occupational Safety and Health in
6 Morgantown, West Virginia. And I've had a strong interest
7 and involvement in assessing individuals for the presence
8 of a range of occupational respiratory diseases, including
9 pneumoconioses, through my career. Thank you.

10 DR. HOLGUIN: Thank you very much to the panel
11 members. I will now read to you the charge to the members
12 of this panel and briefly describe what the agenda
13 consists on.

14 The purpose of the panel is to discuss and summarize
15 the best current science for each question posed to the
16 panel. Consensus or a specific advice on each of the
17 following questions is not requested. If you're unable --
18 if any of the panel members are unable to address a
19 question for a particular technique, just reply, "No
20 comments."

21 We will consider a list potential techniques for
22 assessing asbestos exposure and/or disease in communities
23 and addressing the questions posed below -- and these are
24 the following techniques that will pretty much take both
25 of these days: fiber burden of lung tissue collected from

1 humans at autopsy; fiber burden of lung tissue collected
2 from living humans; fiber content of sputum samples
3 collected from living humans; fiber content of
4 bronchoalveolar lavage fluid on living humans; fiber
5 analysis techniques, such as BAL fluid or sputum in
6 sentinel animals, such as household pets or other resident
7 animal species; counting asbestos bodies in human tissue,
8 BAL fluid, or sputum; blood mesothelin or osteopontin
9 levels or other blood tests, for that matter; clinical
10 tests such as spirometry to look for functional changes;
11 clinical tests such as x-ray or CT scans to look for
12 pathological changes, including pleural plaques, pleural
13 thickening, and/or pleural effusions.

14 For the biomarkers of asbestos exposure -- for each
15 of these techniques that I've just mentioned, we will
16 consider the following questions. Again, if you aren't
17 able to comment on any particular technique, just reply,
18 "No comment."

19 So for each of these techniques, we'll consider:
20 (Reading) "What are the advantages and disadvantages
21 of these techniques as a method for assessing community-
22 level exposure to asbestos? Is the technique more suited
23 to measuring exposure on an individual level? Does this
24 technique result in a high confidence in predicting
25 asbestos exposure above a background level? Are the

1 results reproducible? What results would be considered an
2 elevated exposure level? If this is not known, what
3 research should be conducted in order to determine the
4 test results that would be considered elevated?"

5 Number 2, which is to rank these techniques, will not
6 be done as part of the discussion.

7 Number 3, "What is the correlation between each of
8 the above techniques and asbestos-related adverse health
9 effects? As an example, can pleural changes such as
10 pleural plaques, pleural thickening, or pleural effusions
11 be used to assess the risk of disease?"

12 And then these will be ranked in terms of confidence
13 as high, medium, or low and address both cancer, including
14 lung and mesothelioma, and noncancer asbestosis and
15 pleural disease effects. And lastly, we'll discuss other
16 potential techniques that will include particularly:

17 (Reading) "Are there any other techniques for
18 assessing asbestos exposure which have not been included
19 in the above-mentioned items?" And I guess that
20 summarizes the -- for the most part, the charge. It's
21 quite extensive to the panel members. We're a little bit
22 ahead of the schedule. Should we proceed to -- are there
23 any questions? Well, you all have a copy of it.

24 DR. WHEELER: We need to do the public comment period
25 as scheduled because people may be coming in to make

1 public comments, so...

2 DR. HOLGUIN: Sure.

3 DR. WHEELER: So why don't we take a break and --

4 THE COURT REPORTER: You need to be at a microphone.

5 DR. DYKEN: Okay. I'll take charge here. We're
6 about 25 minutes ahead of schedule. So what we're going
7 to do -- since we want to have the public comment period
8 exactly when it was scheduled, what we'll do is we'll take
9 our break before the public comment period, and then we'll
10 go straight on into the discussion after the public
11 comment period.

12 So -- so right now, we've got about 25 minutes that
13 you can take a break to use the restroom, whatever.
14 There's a small pot of coffee over in the corner. There's
15 probably not enough for everyone. So you probably have
16 time -- there is a café in the building that is diagonal
17 to this building. So if you exit, take a right down past
18 the parking deck, and then kind of go that way, and it's
19 on the corner of the building. Just ask somebody.
20 There's a little café. You can get some coffee there.

21 And if anyone has any questions, you can see us.
22 Also, in preparation for lunch, we have a couple of
23 suggested places and maps that you can think about. I
24 think we've got the panelists covered, but I know there's
25 a lot of people visiting. So we've got some suggested

1 places that you guys can run out to and get something
2 relatively quickly. So just see us up front. We've got
3 some info for you. Okay.

4 So we will meet back here at 10:00. So if everyone
5 could try to be in just a few minutes before that so we
6 can get started. Thank you.

7 (Whereupon, a recess of approximately 23 minutes was
8 taken.)

9 DR. HOLGUIN: The next item on the agenda is the
10 public and observer comment period. I'm told that the
11 person who had signed up to provide comments is not here
12 and will not be here. Therefore, we've decided to open it
13 up for four people to provide comments during this time.
14 Let me just give you some brief ground rules. Each person
15 has a total of five minutes. And Erin -- where's Erin?
16 She'll be here in a minute. She will prompt you at the
17 end of four minutes to let you know that you have one
18 minute left. And so we have time for four people to
19 provide comments.

20 Just approach the microphone that's over there on the
21 side of the room. And if no one is available to provide
22 comments, then we'll just continue with the agenda. So
23 we're just going to wait a few minutes for someone to
24 approach and provide comments.

25 (No audible response)

1 DR. HOLGUIN: No takers? Okay. Would you mind
2 stating your name and affiliation, please.

3 DR. MILLER: Hi. I'm Aubrey Miller with U.S. EPA in
4 Region 8. I'm a physician and toxicologist with the
5 region. And I just had a comment on No. 3, which
6 discusses the correlation between biomarkers of exposure
7 and asbestos-related disease. And, I guess, in the way
8 it's phrased or framed, that particular discussion, it
9 suggests that the pleural abnormalities and the pleural
10 disease or the pleural findings are not disease or
11 suggested it the way it's -- the way it's, I guess, framed
12 here is that you consider a pleural finding as something
13 that would lead to disease versus it being an element of
14 disease.

15 And, I guess, I would like to throw that out for the
16 consideration of pleural disease as its own entity and
17 what might be associated with pleural abnormalities,
18 whether it's progression of pleural findings, reduced
19 pulmonary physiology, whether it be lung-pulmonary
20 function, and the risk for malignancy. So it is an entity
21 and what that entity predisposes would be my suggestion of
22 this discussion. Thank you.

23 DR. HOLGUIN: Anyone else? Don't be shy.

24 (No audible response)

25 DR. HOLGUIN: If there are no other people that are

1 going to provide comments, what we'll do is we'll move on
2 forward with the agenda items. A couple of housecleaning
3 issues: We will skip the 10:30 break since we just had an
4 unexpected break. And also, for the next -- a technique
5 discussion, which is a fiber burden of lung tissue
6 collected from humans at autopsy, we'll have a phone
7 conferencee join us -- Dr. Leslie Stayner -- who is a
8 professor and director of epidemiology and biostatistics
9 from the University of Illinois at Chicago. Unfortunately,
10 he had a family emergency and could not be here but will
11 join us over the phone.

12 So I would like to, at this time, open the panel for
13 discussion for the first topic, which is fiber burden of
14 lung tissue collected from humans at autopsy and fiber
15 burden of lung tissue collected from living humans, for
16 the panelists (laughter).

17 DR. ABRAHAM: I'm not sure what the format is. We've
18 sent in our comments that are summarized or reprinted
19 here, and...

20 DR. HOLGUIN: Well, for each -- for each of these
21 techniques, when we were charged to this cause for the
22 following points that I read to you earlier. Dr. Dodson.

23 DR. DODSON: All right. I'll be happy to start if
24 you --

25 DR. HOLGUIN: Sure.

1 DR. DODSON: I developed rather, I think, lengthy
2 comments concerning this issue for the panelists to
3 review, at least from my perspective, as well as
4 references to document the points made. I think there are
5 several issues in using fiber burden from tissue at
6 autopsy that are -- that are -- will obtain useful
7 information, given the fact it is a base of information at
8 the point in time the sample is taken. It does not
9 reflect what may have been there in the past and been
10 cleared over time. If that autopsy material is lung, it
11 does not necessarily reflect what is in extrapulmonary
12 sites.

13 I did go into some detail, which I won't at this
14 discussion, but -- except to touch on a couple of the
15 issues that is imperative, in my opinion, to define what
16 technique is used to prepare the samples. It is, also, at
17 least from my perspective, important to know what is
18 included in the count: length; width; detection limit;
19 background of the lab, background meaning quality control
20 aspects.

21 And therefore, one can use those sources of
22 information for comparison with other data that's
23 presented. At least if it is done in a different manner
24 with different fiber burden included in the analysis, one
25 can at least compare with that population of fibers,

1 hopefully, that were counted at another scheme.

2 I have given, in my opinion, a synopsis of the use of
3 the light to scanning and the transmission electron
4 microscopy and the applications for those and the
5 different types of areas and information that can be
6 achieved and the limits of each and have given the
7 reference, which I believe is correct, from the Health
8 Effects Institute position on the use of ATEM and the
9 reasons that it is a useful instrument for identification
10 of asbestos fibers as dissociated from other types of
11 mineral fibers and tissue samples.

12 As a comparative base, I have referred to the NVLAP
13 AHERA section under Public Law 99-519 that defined, after
14 looking at the available options for particle analysis --
15 it happens to be from air samples, but once a sample is on
16 a filter, the instrument and the user do not -- do not
17 have the variable. The preparation has been handled at
18 that stage.

19 And the discussions and the end points of that were
20 to establish -- just as the PCM method for counting fibers
21 of air with a light microscope was based on a reproducible
22 and acceptable standard as a guidance document, the AHERA
23 document gave rise to a reproducible standard that could
24 be assessed between laboratories for using the ATEM and
25 counting fibers. And again, that has applicability

1 wherever those fibers happened to have come from once they
2 are on a filter.

3 So I think all of those issues are variables that are
4 technically important to understand when trying to assess
5 the data that can be obtained from assessing any tissue
6 burden, fluid burden, body fluid, et cetera.

7 With the other statement I made early on, being, in
8 my opinion, very important, that what you're looking at
9 the lung is a snapshot of what is there at the time the
10 sample is taken and doesn't account for clearance, which
11 impacts selectively on a certain proportion of the fibers
12 dimensionally and does not, at least from our data to
13 hand, reflect necessarily what reaches the extrapulmonary
14 sites.

15 Thank you.

16 DR. HOLGUIN: Thank you, Dr. Dodson. Would anybody
17 comment, like to reply?

18 DR. WEISSMAN: Sure. I guess I'll jump in. Study
19 design is really important, and a choice of individual
20 studied is extremely important. And if the goal is to
21 assess community exposure, picking people who are
22 representative of the community is really important.

23 It's really important to have good exposure
24 information about the individuals who are studied, which
25 can be a big challenge in autopsy studies. It's important

1 to know their smoking histories because smoking can affect
2 clearance. So having that kind of data is really
3 important.

4 So even before getting to the issues that Dr. Dodson
5 talked about with regard to analyzing the tissue, choosing
6 the right people, choosing the right controls because,
7 once again, if you want to know if a community has a
8 excess level of exposure, it's important to choose the
9 appropriate controls so that one knows, you know, what to
10 compare to. You know, those kinds of study issues are
11 extremely important.

12 I guess one advantage that exists for autopsies,
13 compared to other sorts of studies, is the ability to get
14 lots of tissue from multiple sites, you know, and lower
15 sampling error. So that would be another advantage over,
16 you know, surgical, you know, approaches, which is what
17 we're going to be talking next. But, overall, really
18 emphasizing the study design issues, I think, is important
19 to have good data.

20 DR. CARBONE: David, can I jump in and go one step
21 back to the study design? I do not -- even assuming that
22 we can design the perfect study and that all the technical
23 problems and issues that Dr. Dodson has brought up can be
24 addressed satisfactorily for everybody, how can you design
25 a study on an autopsy when, in fact, there are no

1 autopsies? The number of autopsies is decreasing
2 everywhere.

3 I do not know in your hospitals, but in my hospitals,
4 they became a rarity. The same thing was true when I was
5 at University of Chicago. Autopsies are not done. So
6 it's not that you can design a study because you can
7 choose. You can't choose. Autopsy may be an excellent
8 opportunity to take multiple samples in the very rare
9 occasion in which you will have that case. But the fact
10 is that to do any type of study it's impossible to count
11 on autopsies because they just don't exist.

12 Concerning the taking samples from living
13 individuals, of course, the lung content analysis remain
14 probably the best way. With all the limitations that
15 there are and that Dr. Dodson has indicated, it probably
16 remains the best way to assess the asbestos presence in
17 somebody.

18 The fact is that how do you get those samples.
19 Obviously, you are not going to do that on somebody unless
20 the person is extremely sick because you're not going to
21 take a biopsy of people prospectively. So you are, again,
22 limited by people who undergo pleurectomy or some type of
23 major surgery, which means that they have cancer.

24 Therefore, none of these two issues can address the
25 questions that I saw -- that have been put on the screen

1 before; that is, the people come to us and ask, "What risk
2 I am? What can be done?" Because what we're talking
3 about is, "Okay. When you die, we are going to measure
4 the asbestos in your lung." That's not exactly what they
5 are asking.

6 So these studies are probably excellent as
7 archeological type of studies. They're studies that allow
8 you to find out what happened in the past. But they're
9 not studies that are practical to address the questions
10 that I have seen that have been put on the screen before
11 because, obviously, you can't do that.

12 DR. CASTRANOVA: Again, if autopsies are not going to
13 be very prevalent and they only -- as I agree -- only give
14 you information what this person was exposed to in the
15 past, doing a history of the person's activities -- where
16 he worked; where he lived; how long he's lived in this
17 community; where in the community does he live, as opposed
18 to where the sites of high exposure, you believe, are.
19 All these things have to be very well documented because,
20 again, you're hoping to project this person's exposure to
21 the community, which is going to be quite difficult.

22 DR. WEISSMAN: And addressing the issue of low
23 autopsy rates, that's obviously a really important
24 barrier. It's a really important impediment in our
25 country. I mean, autopsy rates have just gone down so

1 much, as you know, and that's a barrier that any study
2 that involved using autopsies would have to address would
3 be really, really reaching out to providers and really,
4 really reaching out to the community and creating
5 incentives, you know, for people to request and perform
6 autopsies above, you know, the baseline, which is so low.
7 I mean, it's really a good point.

8 DR. ROGGLI: Well, it's true that the autopsy rates
9 are decreasing in medical centers and in community
10 hospitals and that that is probably not the best source
11 that you're going to get for studying this. But I'm not
12 convinced that there's a decreased rate of autopsies among
13 medical examiner cases.

14 DR. HOLGUIN: Trauma.

15 DR. ROGGLI: Trauma cases and individuals who are
16 from certain communities, the medical examiner cases would
17 be the way to go to find out what the fiber burdens are
18 for people living in an area if you want to look at
19 autopsy lung tissue. And for that, as mentioned, I think,
20 in discussion, one of the problems with that is finding
21 exactly what this person's occupational exposure was,
22 exactly how long they lived in an area, and were there
23 other complications.

24 For the living-tissue cases, there's a couple of
25 different sources that I think you would not want to miss

1 out on. One would be lung cancer, lobectomies, or
2 pneumonectomies. The vast majority of those are going to
3 be for cigarette smoking. But you've got the lung tissue,
4 which is going to tell you, while you're at it, that what
5 that person was exposed to in terms of asbestos fibers in
6 the environment. And so, if you had a registry of
7 individuals in a community so that you knew an individual
8 has had surgery and if you could get permission to look at
9 their tissues, I think that would give useful information.

10 One thing you certainly wouldn't want to miss is
11 anybody in the community who had mesothelioma and who had
12 a pneumonectomy for that. To miss the opportunity to look
13 at lung tissue samples in that circumstance would be, I
14 think, quite a shame. You also have to tailor, I think,
15 the studies as to what the question is being asked in any
16 particular location. For example, with the chrysotile
17 issue, as Dr. Dodson mentioned, chrysotile doesn't
18 accumulate in the lungs to the degree that the amphibole
19 fibers do and you're looking at a snapshot of the exposure
20 history.

21 And since the lung is not a very good measure of what
22 the chrysotile exposure is, maybe, in that circumstance,
23 you're better off looking at what are the environmental
24 levels of exposure, measuring in the environment rather
25 than looking at lung tissue analyses.

1 On the other hand, there's good data showing that for
2 the amphibole, amphibole fibers, the fibers accumulate
3 progressively with exposure over time, and even though
4 you're looking at a snapshot, you are looking at a
5 cumulative exposure over a lifetime. It's a pretty good
6 measure of what the exposures were.

7 As far as the instrumentation that you use is
8 concerned, it depends on what the question you're asking.
9 Some really excellent studies were done by Dr. Karjalainen
10 in Finland that indicated that, if you looked at the same
11 samples with SEM versus TEM, you found about three times
12 as many fibers by TEM as you did by SEM. But the
13 difference was almost entirely due to chrysotile. And
14 there was little, if any, difference between the two when
15 you're looking for fibers, amphibole-type fibers.

16 So for example, in investigating tissues in the Libby
17 area where you're talking about tremolite, actinolite type
18 of fibers or in the El Dorado Hills area, then SEM would
19 be a fine type of instrumentation to use to answer that
20 sort of question. So those are the main comments I have
21 those along those lines.

22 DR. HOLGUIN: Thank you.

23 DR. CARBONE: Victor, if I may comment. The -- I
24 worked at the ME Hospital, medical examiner office, in
25 Chicago for a while. We had about 12 deaths a day. Of

1 those 12 deaths, I would say that, on average, two-thirds
2 were kids who shoot each other, gang kids, and they're 20
3 year old. And the others were people which you had no
4 clue from where they're coming from and who died for the
5 horrendous reasons.

6 What I'm saying is that when we design a study, of
7 course, we have to see the cost effectiveness of the
8 study. And if we were to do a study to measure the
9 prevalence of asbestos bodies in autopsy people at the ME
10 office in Chicago, it would take for the next hundred
11 years before you have a number of patients that can give
12 you a P value.

13 So although I believe that measuring asbestos content
14 in autopsies is very important as snapshots on a
15 particular case, as you indicated, particular case of
16 mesothelioma, particular case that presents to you the
17 opportunity to study it, it's a prospective study. It's a
18 study to assess the exposure, say, of the city of Chicago.
19 By the time you have the study published, the situation in
20 Chicago has changed completely because it's decades from
21 now.

22 Considering the biopsies that you take from people
23 who develop lung cancer, obviously, they can give us
24 important information. But we need to keep in mind that
25 that is a biased population, and it's a biased population

1 because they have lung cancer, so you're not getting a
2 true background evidence. You are biasing it from start,
3 your study, saying, "I'm going to study the ones who have
4 lung cancer, who are smokers, who probably have asbestos
5 and get" -- and, in fact, asbestos and smoke work together
6 in causing cancer. So you have a very biased population.

7 As far as you accept the fact that you are studying a
8 very biased population, then you can do the lung content
9 analysis. As far as you do not conclude that that
10 reflects what happens in the community because, obviously,
11 it can't.

12 DR. ROGGLI: Well, I don't think that the autopsy
13 rate from gunshots in El Dorado Hills County are going to
14 be the same as what you have in Chicago. And one of the
15 things I think would be a true shame if you had a medical
16 examiner case of an individual who was 80 years old, died
17 of a heart attack, lived his whole life in El Dorado
18 County, and it was an ME case and you didn't analyze the
19 lung tissue. That would be a great opportunity that was
20 lost.

21 Obviously, in terms of addressing your question about
22 bias, it has to be a carefully designed study, just as Dr.
23 Weissman pointed out. What you have to do, if you're
24 going to do this, is you look at ME cases, individuals who
25 are in the EL Dorado County areas versus ME cases from

1 elsewhere that are not in areas that are known to be
2 contaminated with asbestos. Again, you have to know what
3 the occupational exposure history and you have to know
4 what the residential history of the individuals were. And
5 you do a case-control series that way.

6 If you don't do case-control series, you're not going
7 to have any useful information. The same thing is true of
8 looking at lung cancer specimens. Of course, you're
9 looking at a bias if you look at lung cancer resection
10 specimens. But what you look at is lung cancer resection
11 specimens from people who've lived their life in El Dorado
12 Hills versus people who have lung cancer resection
13 specimens who also were smokers who didn't live in El
14 Dorado Hills in an area that was not contaminated.

15 So you have to do very careful case-control studies
16 if you're going to interpret what it means in terms of
17 your lung fiber analysis and, therefore, risks of disease
18 to live in the El Dorado Hills area or Libby, Montana, for
19 that matter.

20 DR. CARBONE: But people move.

21 DR. HOLGUIN: So, I guess, what you're -- what you're
22 describing, would it be useful -- I mean, the question is
23 open to the panel -- to have like a -- I mean, you know,
24 you can't predict the number of autopsies or cases that
25 will be available for living tissue in the hospitals

1 either. Would it be useful to have a surveillance program
2 in different communities with different levels of
3 exposure? And you're just getting the cases sent and
4 getting information as it comes, and then eventually you
5 would have enough communities to have enough powers, you
6 know, to calculate an effect.

7 DR. CARBONE: You wouldn't. You wouldn't because
8 people move. And you will never have the history of the
9 people who come in ME office. It's impossible. I mean, I
10 worked there. How are you going to know, of those kids
11 who are dead there, from where are they coming from? It
12 would take a huge team of people to track down those kids
13 who are dead -- and, by the way, who are usually 16, 17
14 years old and so probably will not have enough exposure.

15 You can only do a snapshot, as Victor say, of the
16 80-year-old guy who lived all his life in El Dorado Hill.
17 But if you are in a city like Chicago, most of the people
18 who are there have not lived all their life in El Dorado
19 Hill or in Chicago; have moved around, like me. If I die
20 in Chicago, where are you going to trace my exposure?
21 I've been everywhere.

22 DR. HOLGUIN: Dr. Dodson.

23 DR. DODSON: Well, I've just got to, kind of, carry
24 something Dr. Roggli said a little further. And that's,
25 you know, we know what we think an exposure is, what it

1 consists of. And, I guess, at some point, someone else
2 will deal with preventative issues because autopsy's after
3 the fact. But -- but, you know, we do have a mobile
4 society. And we have to be guarded in looking at tissue
5 assessments, for any of us that have done that, with the
6 surprise that we're told an exposure occurred to something
7 and we found something else.

8 And you could, in point of fact, have an old person
9 living there but had an intense exposure at some point
10 that resulted in accumulation of a different type of
11 asbestos. That, I guess, comes back to the issue of being
12 inclusive of what is there as far as fiber burden rather
13 than just focus on the type in that area which has some
14 unique characteristics that makes it more easily
15 resolvable in instrumentation.

16 DR. GUNTER: I have a little -- maybe a little
17 different direction on this. I mentioned this this
18 morning, and there was some -- got some conversation
19 started, so I'll mention it again. As a geologist and a
20 mineralogist, I look at sampling as an issue that we have
21 to do and then sampling of the lung is another issue. And
22 the comment I had this morning -- and it didn't go over
23 that well -- was talking about sampling the entire lung.

24 And at autopsy, if you had the entire lung, you could
25 digest the entire lung and look at the entire mineral

1 content instead of these sampling effects. And these
2 sampling effects, when you take a small portion and
3 extrapolate that, does not give a clear analysis of what
4 the content is. There's some discussion that Art Langer
5 had tried some of this work some years ago. But with
6 techniques like powder x-ray diffraction now, some of the
7 new analytical methods with computer programs to refine
8 this data, we can analyze quantitatively the mineralogy
9 down to a percent or less with milligram-sized samples.

10 So if you had the entire mineral content of the lung,
11 whether they're background or people living at Libby or
12 people living at El Dorado Hills, people who've not maybe
13 been moving around that much, it would be a good way to
14 understand the entire content and not just a portion of
15 it. At the same time, if you knew the entire content or
16 say the amphibole content and then you could then take,
17 with careful sampling, and you could take and figure out
18 the different size ranges for subsets of that.

19 But I think this is one thing that's missed is
20 looking at that entire -- entire content. And that might
21 not have been able to have been done 20 years ago, but it
22 might be able to be done now, assuming you could remove
23 all the organic material, and that would be probably the
24 biggest problem.

25 DR. ABRAHAM: Well, just to respond to what you said,

1 the idea of the entire content means a gravimetric
2 approach, and the issue of looking at fibers really
3 requires individual fiber analysis rather than a
4 gravimetric approach because the lung has a burden of
5 other particles other than asbestos that's usually way
6 higher on a gravimetric basis.

7 For example, the animals from El Dorado have
8 relatively high concentrations of numbers of tremolite
9 fibers that are long and thin. But they have probably a
10 hundred times higher concentration of nonfibrous tremolite
11 and other dusts in their lungs.

12 So to try to measure that on a gravimetric basis and
13 the idea of looking at an entire lung is something that
14 the people that do lung fiber burden analyses probably
15 would find difficult to reconcile because, when we look at
16 lungs in different samples, different areas, side by side,
17 or from different lobes, sure, there's variation. But
18 it's not a huge variation. It's not more than an order of
19 magnitude usually from one part of the lung to another.
20 And that's the kind of variability that we live with.

21 Someone with no excess exposure versus someone with
22 an occupational exposure or even an environmental exposure
23 will have orders of magnitude difference usually. There's
24 rare cases that are near that borderline of background in
25 reality. So I think that's interesting from a mineralogic

1 point of view, measuring the total dust and measuring down
2 to a tenth percent. But I think it will miss an awful lot
3 of information about detectability for asbestos because it
4 can be present at much less than a tenth of a percent by
5 weight and still be high number of fibers when you look at
6 it in the dust in the lung. So be really careful about
7 that.

8 And the other thing that I wanted to mention, in
9 response to Michele, is that -- and Victor too -- is that
10 you've done work on pediatric autopsy cases, and there's
11 no reason to not look at that. We're not trying to look
12 for asbestos disease correlations with fiber burden.
13 That's been done quite a bit around the world. We're
14 trying to answer the questions of whether there's exposure
15 going on.

16 So jumping ahead, both Victor and I pointed out that
17 if we want to measure exposure, we should measure
18 exposure. The measuring of the lung fiber burden may be,
19 as we heard, an indication of retained dose. But the
20 younger the population we look at, the closer it is to
21 recent exposure. And if we're thinking about the disease
22 that occurs with the least exposure, which is
23 mesothelioma, then it's the longest latency. So we want
24 to prevent exposures by measuring what's going on now.

25 So the studies like the EPA did in El Dorado, showing

1 that there's asbestos there -- it's getting stirred up
2 into the air in significant amount -- and the studies in
3 animals that show animals are being exposed and retaining
4 it in their lungs, even if the numbers don't match humans,
5 are evidence of exposure. The issue of jumping from that
6 evidence of exposure to risk is one that I hope we spend a
7 lot more time talking about later because that's a real
8 challenge.

9 DR. GUNTER: Just a quick comment. I don't disagree
10 by any stretch of the imagination. You look at the fiber
11 lung. But what I'm saying, this -- this is a piece of the
12 puzzle no one's looked at before -- the entire lung load,
13 mineral load -- and that might have some effects. So it's
14 a different thing to look at.

15 The other comment that I think -- and I suggested
16 this also -- is the exposure is, by far, the biggest
17 concern, and now, when you look at the lung, you're
18 looking at what's retained. So if you have ideas --
19 exposures, and I have some ideas later on, on how we might
20 be able to measure those a little different than we have.

21 DR. HILLERDAL: Well, this is a mobile society, as
22 you said. And so I wonder, first, how many 80-year-old
23 men have lived in the El Dorado Hills. I was told it was
24 developed 20 years ago. So how could you live there your
25 whole life? And secondly, how many of these 80-year-old

1 men who die from heart infarction will come to an autopsy?
2 I think it's not realistic.

3 But I do think that what could be done and what
4 should be done is those kids, young people, who die from
5 accidents but have been living in this community -- that,
6 I think, is a very good idea if you could take out their
7 lungs and measure what they have in their lungs. I think
8 that's the only realistic way of doing it because,
9 otherwise, if you wait until they get the mesotheliomas,
10 they're already in their fifties or in their sixties and
11 they live somewhere else and nobody knows anything about
12 their exposure and where they stayed when they were kids.

13 DR. HOLGUIN: Sure.

14 DR. HILLERDAL: Also, I would add here that there is
15 amphiboles. They also get cleared though very slowly.
16 So, I mean, Victor said that the amphiboles is a
17 collective thing you have in the lungs, but that's not
18 quite true. There is data showing that they slowly also
19 get out of the lungs. So it's not really -- you have to
20 -- you have to know how they started from Western
21 Australia, for instance, show that they calculated half-
22 life for crocidolite for about, I think, it was eight
23 years or something like that. I think that's too -- it's
24 too -- I think it's slower than that, but there is a
25 clearance also of amphiboles.

1 So you -- as was said here, what you get is a
2 snapshot of what you actually are exposed to. And that's
3 why, I think, those kids or youngsters who actually live
4 in the community and die from accidents and get an autopsy
5 -- these are the ones we should concentrate on.
6 Hopefully, there will not be too many.

7 DR. CARBONE: So the summary of this discussion will
8 be that we want to do the autopsy studies on young kid
9 that was not shot and what is the very close exposure, if
10 there is any exposure to asbestos in that given community,
11 not trying to attach any risk to it, but just to see
12 whether a given community in Chicago is, in fact, exposed
13 to asbestos or is not.

14 And that could be complemented with air sample
15 studies to see whether there is asbestos in the air. And
16 then you put the two things together and you can determine
17 whether there is asbestos exposure in Hyde Park versus Oak
18 Park, considering whether the kid has been shot from Oak
19 Park or from Hyde Park (laughter).

20 Yeah. I mean, that's it; right? I think that is a
21 very reasonable approach actually. The 80-year-old
22 doesn't work, but the kid works.

23 DR. ABRAHAM: Unfortunately, there are, you know,
24 motorcycle-accident victims and...

25 DR. CARBONE: But how do you go with the background

1 levels? Because we have all heard about and read about
2 these background levels of asbestos, that we have all
3 millions of asbestos fibers in our lungs. And then there
4 is all the issue that there is below and above background
5 levels. But this is usually in lungs of people who are
6 50, 60 years old who have been exposed to asbestos.

7 Now we have to determine what are the background
8 level of a 20-year-old because, obviously, that -- since
9 there is accumulation, the background level of a 20-year-
10 old, there's not going to be the background level of a
11 40-year-old. So first we need to determine where are the
12 nonexposed kids in Chicago, and then determine whether
13 somebody's above that; right?

14 DR. HILLERDAL: Yes.

15 DR. ROGGLI: I think the way you do that though, as
16 indicated, is with the case-control study. If your
17 question is, is there exposure in El Dorado Hills from the
18 tremolite contaminant there that's above the background
19 elsewhere in California that doesn't have that sort of
20 contamination, then you look at individuals of a certain
21 age group. If you want to pick kids who die in motor
22 vehicle accidents, that's fine. And you compare them with
23 somebody who lives elsewhere, not with that sort of
24 exposure, and see how much they have.

25 There may be just as much tremolite in one group as

1 the other, in which case you would conclude that there's
2 no significant contribution to the lung burden from living
3 in El Dorado Hills.

4 DR. GUNTER: I think this is one of the issues of
5 sampling. And I said I'd talk about it later, but I might
6 as well now. The air sampling -- if you knew the
7 background levels because, I mean, these materials are
8 minerals, and by definition, they occur naturally. And by
9 reality, they're spread all around the world. And if
10 humans weren't even here, they would still be here
11 floating around the air.

12 So the question is what's in the air. And the
13 question is sampling some of that, and many of you -- I've
14 been involved with some air-sampling studies we did in
15 Idaho a decade ago, looking at the quartz content of
16 PM-10, and we had a dichotomous air sampler collecting
17 PM-10 and PM-2.5. Now, that was a very specialized
18 sampler, but the EPA has set up PM-10 collecting networks
19 nationwide, and these are collected on filters.

20 Those filters exist. People could look at those
21 filters to find out background levels with great
22 difficulty. But those air samples exist. You know, it
23 would seem to me like having a good idea nationwide what's
24 in the air would be a very wise thing to do.

25 DR. CASTRANOVA: Well, if I could just turn the

1 question just slightly and give another example that's not
2 asbestos. In central California, they did an autopsy
3 study on accident victims, mainly young adults. And the
4 question was would soil levels of silica, airborne silica,
5 be sufficient to cause fibrosis in the lung. And from an
6 exposure level, they did measure soil, airborne levels of
7 soil-based silica in farming communities. And yes, they
8 have a number, and it's fine.

9 It's above ambient levels in other areas. But the
10 question is was it sufficient to induce the first events
11 of a disease process, and in the autopsy study was the
12 agricultural workers and accident victims. They were able
13 to show the -- and deposition sites of the silica
14 particles. There were initiations of collagen formation
15 and so forth.

16 So they -- they -- and I think that's one of the
17 things you're interested in is an early indication what
18 are the levels that would give you an early indication
19 that disease process is beginning. And so perhaps an
20 autopsy study could be used in that regard.

21 DR. ABRAHAM: Well, just in response to that, I'm not
22 sure that is the interest here. Do we want to know the
23 disease is beginning, or do we want to know that exposure
24 has begun? To me, it seems like we already know that
25 there's exposure.

1 DR. CASATRANOVA: That's what I think.

2 DR. ABRAHAM: And so the issue is prevention. We
3 know asbestos causes disease whether it's from the soil or
4 from the shipyard. And we don't have the techniques at
5 present to extrapolate from measuring the dust in animals
6 lungs or from air samples in a simulated aggressive
7 testing, like the EPA did, to measure the risk exactly.
8 But we know it's more than it would be without that
9 exposure.

10 And that's the answer I would give to the people
11 asking questions. Yes, there's exposure. And to the
12 second part of what the risk is, I don't have a clue at
13 this point.

14 DR. CASTRANOVA: See, that's where --

15 DR. ABRAHAM: But I think measuring disease is a
16 separate issue from measuring exposure. We already know
17 about dose-response relationships with asbestos and
18 fibrosis. What we're really concerned about with low
19 level exposure is mesothelioma --

20 DR. CASTRANOVA: Mm-hmm.

21 DR. ABRAHAM: -- where there's no known safe
22 threshold. I mean, not everybody agrees with that, but
23 most people agree with that, I think.

24 DR. DODSON: Let me go back just for a moment to a
25 point Dr. Roggli and Dr. Gunter both made about the

1 comparative base of exposure. I mean, we're talking about
2 potential here, in many instances, rather than disease --
3 to take an assessment of that. There's tremendous amount
4 of data availability from folks that have been involved
5 with abatement and other activities for air monitoring
6 throughout the state where there aren't, presumably,
7 outcrops of crystallitic rock.

8 So, I mean, that would be a good starting point to --
9 I mean, if someone in that area, for example, came to
10 autopsy and Dr. Roggli assessed the lung and found in a
11 20-year-old there was a lot of tremolite, that would be
12 pretty logical it had to be from some exposure other than
13 background if there weren't any air samples in that area
14 that dictated that it was in the ambient air. And that
15 data must be available through the state agencies and
16 possibly through the EPA, some of their activities.

17 DR. HOLGUIN: Do we know what a lot is? I mean, I
18 think one of the questions here is what is the threshold
19 that may represent an elevated exposure, you know, from a
20 background.

21 DR. DODSON: Well, I'm talking about something as
22 simple as detectability of a type of asbestos in the air.

23 DR. ABRAHAM: Certainly, we -- various labs have
24 information on background levels, and within that lab,
25 analyzing samples from people or animals can be compared

1 to whatever they measure. I -- there probably are some
2 borderline cases, but in the case of a significant
3 exposure, the levels of tremolite are so much higher in
4 somebody or some animal with significant exposure than
5 they are in any control group.

6 We've looked at animals from other places in El
7 Dorado much more extensively than we looked at animals --
8 and you've looked at animals, Victor -- from other places.
9 And I don't know how much is just asbestiform tremolite
10 found, but in dogs that we looked at from Kansas, where we
11 know what soil they're exposed to, where there was no
12 tremolite of any kind that we could detect looking at
13 thousands of particles, we didn't find any fibrous
14 tremolite.

15 So those dogs didn't have the same tremolite exposure
16 as the dogs we looked at from El Dorado. But that's not a
17 big surprise. The dogs' lungs reflect the dust that
18 they've been exposed to, subtracting clearance over a
19 period of time. And it's shown with age that the amount
20 of dust goes up in the dogs that live in a certain area.

21 DR. CARBONE: So we are talking about techniques to
22 verify that exposure takes place as you indicate, and --

23 DR. ABRAHAM: To convince people.

24 DR. CARBONE: -- to convince people that, indeed,
25 exposure take place. Of course, none of these techniques,

1 unfortunately, can address the questions that they put on
2 the panel that say what you should do about it, but you
3 can -- using these different techniques from air samples
4 to studies in animals to the occasional autopsy or lung
5 biopsy verify that, indeed, that there is exposure in a
6 certain area or identify that there is exposure. And then
7 they should, of course, be counseled what you do about it.

8 DR. GUNTER: In the world of silica -- and I
9 typically just say quartz because we're talking about
10 quartz in general. I mean, I could say fairly confidently
11 that any PM-10 air sample in the United States would
12 probably contain between 10 and 20 percent quartz. I feel
13 good about that statement. But I couldn't tell you what
14 percentage amphibole, just ignoring the fact of
15 asbestiform versus nonasbestiform. I would say if you
16 looked in areas -- because the map that was up there
17 showed where the mountains are. That's where the
18 amphiboles are, and geologically, that makes sense.

19 In those areas it would be higher, but I don't know
20 what it would be, whether it would be .1 percent, 5
21 percent. And I think those things matter when you come to
22 the risk assessment of being able to answer the questions
23 to the people in Libby or in El Dorado or anywhere living
24 in any mountainous region because that's where you'd be
25 typically finding those samples.

1 And it would be different in the mountainous regions
2 in the east -- because of the rain, there's more
3 vegetation, higher rainfall -- than in the mountainous
4 regions in the west. So I think a lot of this does come
5 back to understanding what background levels is, and this
6 is one of the things that interests me, and I do not know
7 what the background level would be in the air of an
8 amphibole.

9 DR. DODSON: Just as a clarification for this panel,
10 we're talking about asbestiform tremolite, okay, because
11 what you just said was asbestiform and nonasbestiform
12 amphiboles, I think.

13 DR. GUNTER: Yeah. I'm just saying just --

14 DR. DODSON: We're not dealing with a nonasbest --
15 I'm just saying, for clarification, we're talking about
16 the asbestiform because we're talking about asbestos-
17 related diseases or potential thereof, and the fibrous
18 form is what we're concerned about for inducing those.
19 Okay.

20 DR. GUNTER: One of the -- again, to -- one of the
21 things I like to talk about if you talk about the total
22 amphibole content, then it could either be -- if it's 1
23 percent, then there wouldn't be 2 percent tremolite
24 asbestos. So if you know the total, the total amount --

25 DR. DODSON: Right.

1 DR. GUNTER: And this is the thing I like to come
2 back with the gravimetric analysis of other techniques.
3 If you figure out the total amount with some technique,
4 then the microscopic techniques could be used to figure
5 out the percent of one versus the other.

6 DR. DODSON: Percent fiber, nonfiber.

7 DR. GUNTER: And I think in many ways that would be
8 another way to approach it.

9 DR. ROGGLI: However you go about analyzing this
10 question about exposure, it's not going to be easy to get
11 an answer. And even --

12 DR. HOLGUIN: We're not leaving this room until we
13 get one (laughter).

14 DR. ROGGLI: There's all sorts of problems just with
15 exposure measurement because if you try to measure
16 exposure in the environment, where do you measure?
17 There's almost an infinite number of possibilities of size
18 that you can measure and who you're going to measure. I
19 mean, obviously, if you're worried about contamination of
20 tremolite on a soccer field, then you might want to do
21 personal measures of kids playing on the soccer field.

22 DR. HOLGUIN: Sure.

23 DR. ROGGLI: Or do other some sort of measurements in
24 the vicinity of where you're worried about particular
25 deposits occurring. But you have to -- if you're going to

1 make measurements, you've got to decide where you're going
2 to do the measurements. You've got to determine whether
3 the weather's going to affect your results. Will
4 prevailing winds, rainfall, thermal inversions, any of
5 this affect how much you measure in one particular day?
6 How many days you're going to measure and how you're going
7 to determine what a community's exposure is because --

8 Just because you find an area near a deposit to have
9 increased levels, if somebody's not there breathing that,
10 it's not going to make any difference, which is one of the
11 reasons that sampling of lung tissue samples is important
12 because, whatever they have in the lungs, they actually
13 breathe.

14 DR. HOLGUIN: Right.

15 DR. ROGGLI: And even though, as pointed out, there
16 is some clearance of amphibole fibers, there are good
17 studies that show that amphiboles, in spite of clearance,
18 accumulate progressively with exposure. So the total
19 amphibole level is a good measure of what the exposure was
20 over the lifetime.

21 That's not true for chrysotile, which tends to
22 plateau out after a given exposure and does not increase
23 with further doses. So those are just some of the
24 considerations you have to keep in mind when you're trying
25 to answer this difficult question of who's being exposed

1 to what.

2 DR. HOLGUIN: So it's considerable sampling
3 variability.

4 DR. ROGGLI: Yeah.

5 DR. ABRAHAM: Well, the EPA did personal sampling of
6 their personnel that had respirators. And other studies
7 have put personal sampling collectors on kids at PM-2.5
8 and things like that in various places around the world.
9 So if you wanted to follow a group of kids with samplers
10 that could then be analyzed for fiber burden -- for
11 airborne fiber levels, that's theoretically possible. It
12 would just require recruiting a bunch of kids in different
13 communities, control and suspect areas, and sampling them
14 for a few weeks or something like that, different times of
15 year. Then you'd have information about their exposure,
16 which is -- you know, personal samplers would be the best
17 measure of their exposures.

18 Did EPA do something with the kids too, or was it
19 only the simulated exposures? Are we allowed to ask the
20 audience?

21 DR. DYKEN: Well, I --

22 DR. ABRAHAM: No? No.

23 DR. HOLGUIN: Oh, hold one second. Jill.

24 DR. DYKEN: I'd just like a little clarification. I
25 just kind of want to get the discussion maybe back on

1 track towards the autopsy results and maybe -- I mean,
2 this is very interesting where you all are going, but is
3 there a way to take the kind of autopsy studies that
4 you're doing and somehow correlate that to exposures that
5 somebody might be getting that could be measured? So --
6 so our focus wants to be on, kind of, the autopsy study or
7 the fiber burden in this area and maybe apply that to make
8 a correlation with that.

9 DR. DODSON: My answer to that would be yes, but you
10 have to die (laughter).

11 DR. CARBONE: I thought that, in fact, we had
12 addressed that because we had seemed to reach the
13 agreement that the autopsy of a young person could give
14 some information about immediate exposures to that person,
15 that making autopsy of an 80-year-old or anybody who had
16 -- it would be difficult because except for rare cases who
17 lived all their life in the same place is very difficult
18 to extrapolate from what you find in that individual.
19 That, as Dr. Roggli has indicated, is a very good way to
20 measure what that human being has been exposed to.

21 But when you try to extrapolate what that human being
22 has been exposed to, to what everybody else in that
23 community's been exposed to, that is very, very difficult
24 because people move and because it's very difficult to
25 know where they move.

1 I am just coming back from three weeks in the
2 erionite villages of Karain and Tuzkoy. If I die, you
3 measure my lung, you want to conclude that in Oak Park
4 there is erionite. So it's very dangerous to extrapolate
5 this thing. However, given this and given the fact that,
6 in fact, autopsies can be very misleading for this precise
7 reason, even in a 20-year-old kid who dies and that you do
8 a lung content analysis -- it's only going to tell you
9 what that kid was exposed to, and unless you know exactly
10 what he did for that 20 years, you are to be very careful
11 to say that everybody else in that community is going to
12 have the same type of exposure.

13 But given that that's the only possibility that I can
14 see you have to try to make some assumption of community
15 exposure. But you cannot use an autopsy to determine what
16 other people have been exposed to in their community.

17 DR. HOLGUIN: One thing I haven't heard much in terms
18 -- if you consider a group of either kids or adults who
19 get exposed to the same levels, what are the factors that
20 may affect the position in the lung? For example, if you
21 have -- as a pulmonologist, I'm interested. If you have
22 chronic airway disease, kids tend to have a higher mean of
23 ventilation than adults. Do all of these factors in a
24 given individual may affect the amount of retention and
25 clearance of particles? Is there a lot of variability

1 across individuals? I.e., if you sample one lung, will
2 that be representative of other people of same age with
3 similar characteristics? Or if they smoke 20 pack-years
4 versus 15, if they happen to be one of, you know, a ratio
5 46 percent of predicted, how does that affect the overall
6 analysis of particles? Could the panel comment.

7 DR. ROGGLI: Well, you're not going to come to a
8 conclusion by looking at the lungs of one individual who
9 died in El Dorado Hills and lived there, say, their entire
10 life versus somebody who did not live there. You're going
11 to have to look at groups of patients, and you're going to
12 have to do careful guesstimates of what the difference is
13 going to be to see what the statistical power is, how many
14 cases are you going to need to look at.

15 DR. HOLGUIN: Uh-huh.

16 DR. ROGGLI: Probably you're going to need to look
17 at, at least 20 cases in your case versus control groups
18 to come to any conclusion about on the average does this
19 population have an exposure which is measurably different
20 from our control to background population.

21 DR. HOLGUIN: Would disease, pulmonary disease, be a
22 confounder or a modifier, for that matter?

23 DR. ROGGLI: You need to look at that. You need to
24 know that. But, hopefully, if you look at a large enough
25 number of samples, it should be similar in both groups;

1 should cancel out.

2 DR. HILLERDAL: That's another advantage if you do it
3 with young people because they don't usually have 50 pack-
4 years of smoking. But, also, there are individual
5 differences.

6 We are talking about these soccer field, of course,
7 and there is differences. Some of the boys will be out
8 there all the time, stirring up the dust and things, and
9 of course, they will get more exposed than the other ones
10 who are a bit lazier or not interested in playing soccer.

11 So we're talking about background exposure, but,
12 actually, what you have is sudden big peaks of exposure
13 when, for instance, you go out digging in your garden or
14 when there is some construction going on. And in between,
15 there is probably not even measurable levels, and there
16 can be huge differences in these. We know that from
17 studies in Turkey and other places.

18 So that's why, I think, even if you put these -- if
19 you give a week's respirators to kids and measuring their
20 exposure, that might not -- might not correlate with their
21 real exposure because you have these sudden peaks which
22 you might not even be aware of. So that's why I think the
23 best thing is to make for the studies for those hopefully
24 very few young people who die and who have been living all
25 their life in these surroundings.

1 But then, of course, you should also like to know how
2 active they were, whether they were standing outside of
3 the soccer field or actually playing on the soccer field.

4 DR. CARBONE: Never have enough autopsy to repeat.

5 DR. HILLERDAL: I agree with everything you say, but
6 you can.

7 DR. ABRAHAM: Well, you don't know. I mean, the
8 data's available on mortality and age distribution of
9 mortality in a number of ME cases in the various counties,
10 and I don't know if anybody's even tabulated. That would
11 be a first step to do before trying to design a project.
12 I mean -- and that information is somewhat difficult to
13 get because of privacy concerns, but it's there. It's
14 public record, even it's not made available to the public
15 easily.

16 DR. WEISSMAN: But getting back to the question
17 about, you know, what are sort of the confounding
18 variables that might exist that, you know, might affect,
19 you know, levels of fibers in the lungs. I mean, we
20 talked about smoking. Obviously, pulmonary disease does
21 affect particle distribution in the lung. The types of
22 activities was mentioned.

23 Oral-nasal partitioning of breathing -- so if
24 somebody's breathing through their mouth and, you know,
25 they have a high minute ventilation playing soccer, that's

1 different than nasal breathing and being sedentary. So --
2 you know, but I think from the standpoint of smoking
3 history and history of lung disease, those are confounders
4 that have to be considered in study design.

5 DR. CASTRONOVA: Again, if you were doing an autopsy
6 study, let's say, on accident victims, we're talking a lot
7 about measure of exposure. And it seems to me you have a
8 lot of data on the airborne levels of the asbestos in the
9 community. And so you have a good bit of data on
10 exposure.

11 But what you don't know is whether that exposure is a
12 level that is causing the risk. And the reason you don't
13 know that is all our dose-response data is up at high
14 exposures. This is down at low exposure. You have no
15 idea.

16 That's why I'm referring back to accident victims and
17 looking -- matching fiber counts to sites of depositions
18 and early pulmonary changes that may occur histologically
19 so that you could get some handle -- are these levels
20 actually causing a pulmonary reaction, and can we predict
21 that that pulmonary reaction would be bad?

22 So that's why I think the data would be very helpful.
23 If I were to measure exposure, I would just measure
24 airborne levels. I wouldn't do autopsy studies. It's too
25 expensive.

1 DR. HOLGUIN: This is one significant limitation is
2 that you -- if you sample fibers in the lung tissue, you
3 really can't tell much about the chronicity of the
4 exposure; is that correct? I mean, you cannot say whether
5 you've been traveling or you were exposed a year before
6 or...

7 DR. CASTRANOVA: You don't know that exposure is
8 coming unless you have a good history. I've said this
9 before.

10 DR. HOLGUIN: Okay.

11 DR. CASTRANOVA: You need a very, very good history
12 to know -- to say that exposure's coming from the
13 community. Now, if it was a young teenager who was born
14 and raised in that community and got in an auto accident,
15 then you have a fairly good idea that the exposure came
16 from that community.

17 DR. HOLGUIN: Okay.

18 DR. ROGGLI: I think one thing you have to realize is
19 you have to do very careful coordination with the medical
20 examiner's office because autopsies that they would do on
21 a motor vehicle accident case typically would not involve
22 taking histologic sections. It would not involve saving
23 organs.

24 It would involve mostly a gross examination and
25 identifying that there hasn't been a head injury and

1 excluding other diseases you could see with the naked eye.
2 So you have to make careful, careful coordination with
3 medical examiner's office probably to get them just to
4 save both formalin in the fixed lungs in the case for
5 further studies is the way to do it.

6 DR. HOLGUIN: Dr. Dodson.

7 DR. DODSON: You know, one step further, you're also
8 going to have to make sure they understand the importance
9 of not cross-contamination with any water that may also be
10 carriers of that material and give the implication it was
11 in your tissue. So your point, one step further, is to
12 make sure they use prefiltered materials, if possible, and
13 wet solutions to protect against their cross-contamination
14 if the water sources have the material in it or where the
15 water source comes from there.

16 DR. ABRAHAM: You'd also collect samples of the
17 formalin they use in their laboratory --

18 DR. DODSON: Yes. That's the other point.

19 DR. ABRAHAM: You have to check all those things.

20 DR. DODSON: You do. That's correct.

21 DR. CARBONE: And then another very expensive thing
22 is that you will have to have your staff that is going to
23 go after the families and relatives of the deceased to
24 find the history of these people.

25 DR. ABRAHAM: Yeah; of course.

1 DR. CARBONE: Yeah. And if you were to be in
2 Chicago, it wouldn't be easy because it's not the truth.
3 I mean, most of the people are gang people who die. So
4 they may just send another nurse down there to find out
5 who's the family of this kid is and where this kid has
6 been. It's not going to be exactly the easiest thing to send
7 your nurses in the gang areas of Chicago to find the
8 history of these kids.

9 DR. ABRAHAM: Well, I mean, we did a study --

10 DR. HILLERDAL: California, not Chicago.

11 DR. ABRAHAM: Yeah. There are other places. And we
12 did a --

13 DR. CARBONE: Means they have to die and go to an ME
14 hospital.

15 DR. ABRAHAM: Yes.

16 DR. CARBONE: Usually, they die for a reason like
17 that.

18 DR. ABRAHAM: No. But, I mean, even in a city like
19 Syracuse, there's some problems like that with crime, and
20 we did a study where it involved family contact. And
21 there were a few homes that were just excluded from the
22 study because it wasn't safe for the team to go there.
23 Equipment would have been lost, you know -- it was too --
24 so, I mean, that's built into any study design that you
25 expect some people to be excluded from the study for

1 whatever reasons, and you just have to build that into
2 your sampling.

3 DR. HILLERDAL: What is the legal implications? Do
4 you have a right to -- at the autopsy for --

5 DR. ABRAHAM: Oh, no; not in some states.

6 DR. HILLERDAL: You have to ask the parents, don't
7 you?

8 DR. ABRAHAM: You almost have to --

9 DR. HILLERDAL: When you pave the lines.

10 DR. ABRAHAM: Yes. You almost have to bring the
11 person back to life to get their permission. In some
12 places, it's really difficult.

13 DR. HILLERDAL: Yeah. Okay.

14 DR. GUNTER: We're sort of on the anecdote -- and I'm
15 sorry if this is going farther off the subject. But when
16 you look at communities in America -- I mean, I would
17 agree with what you say in Chicago or in California. But
18 I'm from southern Illinois, and my father has lived his
19 entire life in that little town except for the two years
20 he was on a battleship during World War II. But in the
21 smaller communities in America exposed to asbestos, of
22 course --

23 (Unidentifiable cross-talk)

24 DR. GUNTER: But in the smaller communities in
25 America, I don't think this is as big a deal as maybe

1 people are making it sound, especially in a class of
2 people who aren't as mobile. Many blue-collar people do
3 not move around that much. Again, this is somewhat
4 anecdotal. And the histories, if you live in a small town
5 -- I mean, I could send a letter to the town and just put
6 my father's first name on it, and it would make it. I
7 mean, in Chicago, it's a little different.

8 But in the smaller communities that might have some
9 of these exposures -- and let's face it. The places you
10 put up earlier on the screen were not major cities. They
11 were rural communities. And those rural communities are
12 in areas that have -- they're more agricultural based,
13 possibly more mining based, and it might be much easier to
14 track personal histories in those areas.

15 The other thing that -- well, then you can -- the --
16 is there any place where there are studies where you have
17 good air data and then fiber data of lungs? That
18 correlation. Have those studies been done where you could
19 see those? Because if you look at fibers -- because,
20 again -- what Jill was wanting -- if you look at exposure
21 in the air and then the people are concerned what's in the
22 lung, are there studies that correlate those existing?

23 DR. CASTRANOVA: Occupational studies.

24 DR. GUNTER: But, again, we're looking more at --

25 DR. HOLGUIN: Community.

1 DR. GUNTER: -- environmental.

2 DR. DODSON: Mm-hmm.

3 DR. HILLERDAL: What I have understood that if we're
4 talking about El Dorado Hills, that's not a typical old
5 farming community of the United States. This is newly
6 developed with highly priced houses, and you will have a
7 quite lot of movement of people out and in there. And
8 most of them will commute to other places where they work,
9 won't they? So it's not -- it is not the typical old
10 farming society of America.

11 DR. DYKEN: We're not just talking about El Dorado
12 County though.

13 DR. WHEELER: No.

14 DR. DYKEN: We're talking about communities in
15 general.

16 DR. HILLERDAL: Okay.

17 DR. HOLGUIN: So I'd like to ask some questions to
18 the panel members, going back to the autopsy and tissue.
19 So given the uncertainties relating with fibers, detection
20 of these fibers in the lung tissue, and the exposures are
21 quite significant as it has been raised, will it be
22 worthwhile to set a surveillance program in multiple
23 places? Would we learn more about exposure and fiber
24 finding in the lung?

25 I mean, because I hear you. Like we need to have a

1 program in place where people come in, and, you know,
2 tissue gets sent out for analysis and that is done in the
3 standardized fashion across different sites with different
4 levels of exposure. Would this be useful or not?

5 DR. ROGGLI: What do you mean by surveillance
6 program?

7 DR. HOLGUIN: Cases come in different communities,
8 and they will -- you know, there will be a program in
9 place to take some of the tissue out and send it for
10 analysis; obviously, not every community.

11 DR. ABRAHAM: A well-designed prospective study like
12 that would be very useful.

13 DR. CASTRANOVA: And the communities have to be
14 selected to show that you have an air sampling for
15 asbestos fibers.

16 DR. HOLGUIN: Sure. You would have, you know,
17 background sampling and you would select communities with
18 different profiles of exposure.

19 DR. DODSON: But in this case you're dealing with a
20 unique asbestiform. So simplistic as it may seem, if you
21 have elevated levels of that type and that tissue is
22 compared to whatever the rest of us of have found in
23 general populations wherever and it's specific,
24 exclusionary of other types of asbestiforms, it seems like
25 that would raise an immediate flag of concern for

1 potential involvement by itself. I mean, does that make
2 sense, Victor?

3 DR. ROGGLI: You're referring to Libby?

4 DR. DODSON: Yeah. No; no. I'm talking about the
5 California scenario. It's tremolite, tremolite --

6 DR. ROGGLI: Well, at least, in cases which we looked
7 at in North Carolina -- case controls we had there. The
8 problem with that is that tremolite's the most common
9 asbestos mineral which we found in -- for fibers 5 microns
10 or greater in length in a general population.

11 And of course, the SEM approach is not sensitive to
12 the thinnest chrysotile fibers nor do we even count the
13 real short fibers. But for longer fibers which -- Berman
14 and Crump's analysis with the EPA says the ones you have
15 to worry about -- tremolite was the most common one we
16 found that we -- in the general population.

17 So that you would have to have a careful control
18 group to make sure that if you found tremolite from
19 individuals from El Dorado Hills or Libby, Montana, or
20 wherever that it was greater than the amount that you
21 might expect from somebody in a similar location except
22 that they did not have the environmental exposure that
23 you're investigating.

24 DR. CARBONE: Another good question would be why.
25 What would be the reason to do the study if the reason is

1 to identify places at risk for asbestos or if the reason
2 is to verify that where you have already identified
3 asbestos exposure, indeed, there is asbestos in the lung.
4 The two questions are very different.

5 If the first -- if it's the first question, that
6 would be an extremely expensive study because, of course,
7 you are going to have to set out many different places all
8 over the United States if you are using the autopsy system
9 as a sentinel of asbestos exposure. If the autopsy system
10 is only used to verify exposure once you use a sampling to
11 verify that, indeed, there is asbestos in the air and now
12 you want to see whether, in fact, human beings are
13 breathing it because that's the ultimate way to know that
14 they are exposed, as Dr. Roggli said, then that is much
15 less expensive and much less time consuming and probably
16 doesn't require either too much of a P value.

17 You just need a few autopsy to verify that, indeed,
18 the people who live there have asbestos in their lungs to
19 verify that the air sampling that you have taken are
20 valid. But the two questions are very different.

21 DR. FORRESTER: Can we pause for a minute? Dr.
22 Stayner, can you hear us?

23 DR. STAYNER: Yes.

24 DR. FORRESTER: Okay. Fernando, he's ready to join
25 the panel.

1 DR. HOLGUIN: Okay. Has he been -- has he been
2 listening to the conversation?

3 DR. FORRESTER: No, he has not.

4 DR. HOLGUIN: Dr. Stayner?

5 DR. STAYNER: Yes.

6 DR. HOLGUIN: Welcome.

7 DR. STAYNER: Thank you.

8 DR. HOLGUIN: We were just discussing for the last
9 hour the utility of fiber burden in lung tissue collected
10 from humans at autopsy and fiber burden of lung tissue
11 collected from living humans, such as those that are maybe
12 obtained from lung biopsy of people undergoing other types
13 of surgery. And I think my senses of the panel is its
14 raising significant concerns relating exposure to findings
15 of fibers in the lungs, and I think this is -- and many
16 other discussions, but this is mainly where we're at right
17 now.

18 DR. STAYNER: I'm sorry. I had a little trouble
19 hearing you. But so we're talking about the lung fiber
20 burden?

21 DR. HOLGUIN: Yes.

22 DR. STAYNER: Did you want me to comment on it?

23 DR. HOLGUIN: Go ahead.

24 DR. STAYNER: Okay. Well, I think several of you
25 experience the same issues. I mean, the first obvious

1 issue is if you're talking about trying to document
2 population exposure, there's so few autopsies going on
3 these days that having anything that approaches a
4 representative sample is almost inconceivable.

5 And the second issue that I highlight is -- because I
6 work on chrysotile asbestos -- and other people pointed
7 this out too -- is that, as a marker of long-term exposure
8 to chrysotile, it does not capture that well at all
9 because of the low biopersistence of chrysotile. Those
10 are really, I think, are just the fundamental issues, you
11 know.

12 For amphiboles, though, it's pretty decent, and I
13 think that it would be reasonable although I think other
14 people have also pointed out that if our intent is to
15 predict risk, it's really a little bit hard to interpret,
16 as are many of the biomarkers in terms of actual sort of
17 quantification of risks.

18 And, you know, I think that -- that sort of leads me
19 to another critical point that -- I think at the end of
20 the day none of these measures are really substitutes for
21 good exposure data. Really, that's still the gold
22 standard that we would hope to have ideally. Sometimes we
23 don't have it, so this may be a substitute.

24 If it's really the intent just to tell communities
25 whether they're at risk or not, I can't see any better

1 thing than to try to document as much as possible what the
2 exposures would be, and I think what the EPA did in El
3 Dorado County is a good example of how, with some sort of
4 simulations and things, that one can reconstruct what
5 exposures might be for communities.

6 DR. HOLGUIN: Would any of the panel members like to
7 comment?

8 DR. ROGGLI: Well, one comment about the exposure
9 issue is that -- Dr. Stayner, we discussed before you got
10 on the phone about possibilities such as kids playing on
11 soccer fields where there is believed to be some
12 contamination with tremolite and doing measurements,
13 individual measurements, say, for a two-week period of
14 time, looking for individual exposure.

15 So you do that and you find, well, yeah, there's some
16 tremolite present. A question that comes to mind is that
17 significant over the lifetime of exposure of that
18 individual because there's many ways you can be exposed to
19 tremolite. There's many ways you can be exposed to
20 quartz. There's many ways you can be exposed to
21 chrysotile.

22 And if you did find exposure that was taking place
23 over that two-week period of time, it still wouldn't tell
24 you whether that was a significant contribution to that
25 person's lung burden. And autopsy studies of young

1 individuals from ME cases, which we think are probably
2 much more stable than the plummeting hospital rates,
3 autopsy rates, we see in community hospitals and medical
4 centers, that that might give useful information about
5 what the lifelong exposure was, provided that you have
6 careful case-control studies from individuals who were and
7 were not subjected to the exposure in question.

8 DR. STAYNER: Is that Ron Dodson, I think? I think
9 he raised a good point there. I mean, obviously, the EPA
10 simulations of a baseball game are not representative of
11 the entire life history of children or adults in El
12 Dorado. So you raise a good point. It doesn't fully
13 capture. I think it -- in some sense, it's a worst-case
14 example or meant to be, really, worst-case examples of how
15 exposures might be. And you're right. Maybe that's where
16 filling in the pieces with lung burden studies -- that's
17 interesting. I hadn't thought about autopsy rates are
18 much higher in children. I wasn't aware of that.

19 DR. ROGGLI: No. I mean medical examiner cases, that
20 is, from accidental deaths, suicides, homicides, any of
21 those type. That's pretty consistent over time, whereas
22 the autopsy rates in hospitals are -- have been
23 traditionally plummeting over the last few decades.

24 DR. ABRAMSON: But in -- actually, in pediatric
25 hospitals, they have a slower decline in autopsy rates.

1 But there aren't major pediatric hospitals everywhere.

2 DR. STAYNER: That would seem to present an
3 opportunity for -- at least for children for documenting
4 exposures with lung burden studies. But it might be close
5 to representative if people -- if children in accidents
6 have a high autopsy rate. That let's us get around the
7 chrysotile problem. I guess with children maybe the half-
8 way tissue isn't expansive, depending on the age.

9 DR. ROGGLI: Well, one of the things we discussed, I
10 think, before you were on the phone is that chrysotile is
11 a problem with lung fiber burden analyses and perhaps you
12 might get better information in that circumstance by
13 measuring environmental exposures, taking air samples, for
14 example, as opposed to doing lung analyses.

15 DR. STAYNER: Yeah. I think that's obvious that,
16 that -- the goal of a lot of people in our confidence
17 study did the same thing. You know, maybe if you're
18 looking at risk of very young children -- I don't know
19 what the risk would be to very young children, but
20 chrysotile could be measured in the lung reliably. But I
21 guess generally what we're talking about are effects. We
22 expect to see lung cancer and mesothelioma 20, 30 years
23 later.

24 DR. HOLGUIN: Jill.

25 DR. DYKEN: Yeah. We wondering if any of the

1 panelists have any additional comments on the fiber
2 burdens from living humans.

3 DR. HOLGUIN: Repeat the question.

4 DR. DYKEN: We were wondering if the panelists have
5 any additional comments on measuring fiber burdens in
6 tissue samples from living humans. I think Dr. Stayner
7 might have some comments on that.

8 DR. STAYNER: You know, I think all of us have
9 pointed out that ethically I don't think you could do
10 that. But -- except that tissue is collected from people
11 with lung resections or for lung cancer. And, obviously,
12 that would be a highly biased estimate of what community
13 exposures would be that people with lung cancer.

14 Assuming some -- some fraction of them are, in fact,
15 caused by asbestos exposure, you would expect to see a
16 very high concentration of asbestos fibers, much higher
17 than what you would expect from the general population.
18 But I don't think it would be very useful for community
19 purposes. And again, I can't see anybody sanctioning
20 taking lung biopsies from healthy community individuals.
21 That wouldn't, I don't think, pass any IRB board that I
22 know of.

23 DR. CASTRANOVA: Another question was lung resection
24 is the representativeness of the sample itself. You're
25 going to sample around, I assume, the tumor. And when you

1 have a disease process going on, that changes the
2 deposition rate in that area. And so that might be -- not
3 be representative of the total deposit of fiber count in
4 the lung.

5 DR. WEISSMAN: The other issue is the frequency with
6 which lobectomies and, you know, and pneumonectomies are
7 done, you know, in a community. And there will be big
8 differences in cancer rates between, you know, different
9 communities. So, you know, if low autopsy rates are, you
10 know -- are a problem in some communities, you know,
11 having appropriate tissue samples, you know, would be an
12 issue too.

13 DR. ROGGLI: The problem's going to be tracing these
14 individuals because somebody who comes down with lung
15 cancer in El Dorado Hills might go to a big medical center
16 in a different -- in a different city to have a
17 pneumonectomy or a lobectomy done. And in that case, you
18 need to -- you want to be able to trace the samples, which
19 means you've got to identify the people ahead of time or
20 you'll never get a chance to look at the samples.

21 And as far as the question of the tumor interfering
22 with deposition, what you typically would do is sample
23 lung tissue as far away from where the tumor was as
24 possible. And we've done that on many occasions. I don't
25 think it makes that much difference in what you find there

1 compared to elsewhere in the lungs when you consider site-
2 to-site variation.

3 We've seen cases that had more in the upper than in
4 the lower lobe, some that had more in the lower than in
5 the upper lobes. And some, you find the same amount in
6 the upper and lower lobes. There's no way of predicting,
7 which is why it's better to have sampling from autopsies
8 because you can sample upper and lower lobes of both lungs
9 and either combine them and sample them or do each one
10 separately and do a statistical analysis of what you
11 found.

12 DR. ABRAHAM: But it would be pretty rare -- not
13 unheard of, but rare -- to have a sample where the upper
14 lobe was at a background level and the lower lobe was
15 elevated.

16 DR. ROGGLI: Exactly.

17 DR. ABRAHAM: Both -- usually, both will tell you
18 whether it's within your background range or above that.
19 The issue of retrospective sampling of lung archive
20 tissues is also a possibility because the larger hospitals
21 maintain archives of tissue in paraffin, which can also be
22 used for fiber analyses. The other thing is -- that I
23 forgot because I was going to say before that. The
24 thought will come back to me in a minute. I'm sorry.

25 DR. WEISSMAN: Aren't there technical issues with

1 paraffin samples though?

2 DR. ABRAHAM: They're not insurmountable, and they're
3 widely used. People that are involved in fiber analysis
4 use whatever they get. You know, if it's a small sample
5 of lung, analyses can be tailored to that. The larger the
6 sample, the more reliable it can be, up to some point.

7 But, as Dr. Roggli said, there is variation from one
8 site to another, but not enough to cloud the issue of
9 whether someone has had substantial exposure or not. I
10 mean, the fiber size distributions might vary also from
11 one site to another if that became an issue. But if
12 you're just wanting to determine whether someone was
13 exposed and whether it's ten times your background or a
14 hundred times, usually, a lung-resection surgery will
15 suffice.

16 DR. HILLERDAL: You will end up with having very few
17 cases in the first place. Secondly, these will all be in
18 their fifties or their sixties. Most of them have
19 probably lived only a short time in El Dorado or wherever,
20 and they have lots of other exposures. So it will be very
21 difficult to draw any conclusions from these findings on
22 the level of exposure in these villages or areas today,
23 wouldn't it? I mean, I think it would be a waste of time.
24 It seems to me that it's not practical to get any real
25 useful information from that kind of studies.

1 DR. CARBONE: I agree with you. It seems to me that
2 the only purpose that we were discussing these studies was
3 to verify that exposure is taking place in a certain place
4 once you already suspect that exposure is taking place.
5 So probably the idea of testing young people who could not
6 have accumulated exposure from various places is probably
7 the only way that we'll diminish the bias.

8 And, in fact, we will not need that many cases
9 because if we are not doing a prospective study to
10 identify where asbestos is, but we are just trying to
11 verify that asbestos is there in a community, you will
12 need a relatively few cases to verify what you already
13 know.

14 DR. HILLERDAL: I quite agree. The next problem is,
15 of course, a deeper loss. What does this mean? How big
16 is the risk from this and, of course, we can't answer
17 that, can we?

18 DR. CARBONE: That's the next question; right
19 (laughter)?

20 DR. ABRAHAM: Could I just mention what I forgot to
21 say? The issue of, you know, people going to a major
22 center for surgery is one that we've dealt with somewhat
23 is that certain regional hospitals serve as a catchment
24 area. That's fairly well defined geographically.

25 So, for example, the people from way upstate New York

1 that have lived in the talc-mining areas often come to the
2 medical center in Syracuse as the regional tertiary-care
3 center. So a lot of the studies of tissues from people
4 that have worked up in the talc mines have ended up at one
5 of the major hospitals in Syracuse because there hasn't
6 been a major lung surgery center up in that area.

7 DR. ROGGLI: Yeah. It wouldn't be hard to catch such
8 a patient because the patient came to -- all you'd need to
9 know is in that catchment medical center that they watch
10 for people whose address is El Dorado Hills. And then if
11 you had the permission of the hospital and the people from
12 the area to get that information, then that should be
13 doable.

14 The advantage of living persons is that you can
15 actually find out the very information that Dr. Hillerdal
16 was mentioning: What is your occupational exposure? What
17 is your smoking history? What is your residential
18 exposure history? What other environmental exposures
19 might you have had? And so, at least, you can identify
20 with what confounding factors there are.

21 The advantage of doing the autopsy study on young
22 individuals in motor vehicle accidents or other such
23 accidents is that you should be able to get very good
24 information from parents; whereas, when the 80-year-old
25 man dying of a heart attack, you'd be hard-pressed to get

1 accurate information probably on what that person's entire
2 life exposure history was. So I think that that is --
3 that does get around that problem. Plus the young
4 individuals are not going to have the complications of
5 occupational exposure.

6 DR. WEISSMAN: Accepting that surgical samples are
7 complicated because people, you know, move around and
8 because they have multiple, you know, exposures, you know,
9 one advantage that was cited in the, you know, ERS, you
10 know, report on fiber analysis was that at least people
11 having surgery are alive, so at least you can ask them
12 about their exposures; whereas, for autopsies, you have to
13 ask somebody else what their exposures were.

14 So certainly, for the older people who die and have
15 autopsies, that's very problematic relative to the younger
16 people. But that is one potential plus of the surgical
17 side is you can actually interview the person that the
18 sample came from.

19 And just a segue to a completely different thing
20 maybe, we talked a lot about looking at asbestos, you
21 know, levels in samples. And I guess I'd like to throw
22 out the idea that we should think more broadly than, you
23 know, things that are traditionally thought of as asbestos
24 in terms of, you know, looking at what's in these samples;
25 that, you know, if one goes to the trouble to, you know,

1 do a study and collect these samples and analyze them, we
2 really ought to look at all mineral fibers that are
3 present in the sample without regard to whether they're
4 conventionally considered to be asbestos or not.

5 DR. GUNTER: Yeah. I would second that; and not
6 necessarily just the fibers, but the entire mineral
7 content. And that -- there may be answers there that no
8 one has thought of. And I think not characterizing those
9 has been a problem, but it's difficult to do.

10 DR. ABRAHAM: Well, the nonfibrous mineral burden of
11 a lung can be characterized also by -- like a microscopic
12 techniques up to a point of crystallography being somewhat
13 limited. But that often provides a clue when someone has
14 had a mixed exposure to identify the source, at least, in
15 an occupational setting. I'm not sure that's been used so
16 much in the environmental setting. But in an occupational
17 setting, it can even tell you whether someone worked at
18 one workplace that had certain products used and work
19 practices versus another.

20 DR. GUNTER: I would say it could be probably used
21 even better in a geologic setting. You'd probably get
22 associations in minerals. And especially with some newer
23 techniques that are coming on -- trace element analysis by
24 using something called laser ablation mass spectrometry.
25 There are many things we can do now in small particles

1 which would allow us to trace where things came from.

2 DR. CARBONE: But now you are doing a completely -- I
3 agree. It's important to find information. But, I mean,
4 you are throwing in completely different things because in
5 the future you may want to revisit what you find in those
6 lung to figure it out what's going on. It's a completely
7 different question than answering where somebody has been
8 exposed to asbestos.

9 The issue is going to be -- I do not know how it
10 works yet, but the issue is that if you're asking for a
11 grant, that will become an issue because somebody's going
12 to ask you why you want to do that. It's unrelated to the
13 question that you're asking. Is it related to the general
14 understanding of lung disease? But it's totally unrelated
15 to the question that you're answering: Has been -- has
16 somebody been exposed to asbestos? Is this community
17 exposed to asbestos? That's the question. If that's the
18 question, knowing whether there are ceramic fibers there
19 is not part of your question. It's still something very
20 important in general terms for us to understand the lung
21 disease. Do I make sense?

22 DR. GUNTER: Oh, yeah; yeah. But I think, again, the
23 -- there may be things that have been missed by not
24 understanding the total content. And, you know, certainly
25 looking just at the fibers is basically just -- it's --

1 the fibers have traditionally been looked at may be
2 missing lots of the point, as far as their risk goes.

3 DR. HOLGUIN: Hold on a second, Dr. Dodson.

4 DR. DYKEN: I think there's a clarification from
5 Aparna.

6 DR. KOPPIKAR: You know, you make a good point, Dr.
7 Carbone. The question is --

8 DR. WHEELER: Could you say your name and your
9 affiliation?

10 DR. KOPPIKAR: Oh, I'm Aparna Koppikar. I'm from
11 Environmental Protection Agency, and I'm the project
12 officer for developing IRIS profile for asbestos effects,
13 noncancer effects.

14 You make a good point. But one other thing is, if
15 you don't look at all the other fiber contents, we
16 wouldn't know whether they are confounders, whether they
17 are effect modifiers, and that's where it will become
18 important. And you can justify that if you are writing a
19 grant, saying that you want to look at all the fibers
20 because they could be contributing or adding to your lung
21 cancer.

22 DR. ROGGLI: That's actually been looked at. I think
23 that there are a number of laboratories that have looked
24 at nonasbestos mineral fibers in lung tissue samples.
25 I've reported on that; so has Andy Churg. I think Ron

1 Dodson has reported on that.

2 And there have been some studies that have actually
3 looked at it compared to risk of disease, particularly
4 mesothelioma, and found that there are certain types of
5 nonasbestos mineral fibers you expect to find in lung
6 tissue samples. There are certain ones that come up over
7 and over again as being the most commonly present. They
8 do not correlate with any disease we've been able to
9 identify.

10 And it has looked -- been looked at in that regard,
11 and so far, there's no leads to indicate that the usual
12 nonasbestos mineral fibers are doing anything hazardous to
13 our health.

14 DR. ABRAHAM: I would just like to add that, as part
15 of most protocols doing fiber analysis, you have to
16 analyze each fiber to tell what it is. So that data is
17 there, even if not always reported in a summary related to
18 asbestos. But if you don't analyze the fiber, you don't
19 know if it's an asbestos fiber or not.

20 DR. DODSON: We've talked a lot about California, but
21 I understood the charge was to talk about generalities.
22 And in context of some of those target sites for
23 distribution from Libby, you may well find a fiber
24 identifier of exposure that is more reliable in tissue
25 burden than you will looking for the regulated asbestos

1 types.

2 And I think -- I don't know if you want to comment on
3 that, but -- but I think you might well use the resource
4 of -- of something other than the classical asbestos as an
5 asbestiform fiber common in that formation that may
6 indicate exposure that has a uniqueness to that product.

7 DR. GUNTER: Like the vermiculite.

8 DR. DODSON: In the vermiculite product.

9 DR. GUNTER: Yeah. Like finding vermiculate itself.

10 DR. DODSON: Or --

11 DR. GUNTER: Or some of these other --

12 DR. DODSON: Yes; which happen to be very long
13 asbestiforms.

14 DR. STAYNER: One point -- I was going to make the
15 same point. If we're talking about documenting
16 noncommercial asbestos like quick-dried or wet, and that
17 would be, obviously, important; and also seems like
18 cleavage fragments that some people don't consider
19 asbestos fibers, I would think, is as important. And even
20 maybe really short fibers that don't meet the definition
21 of OSHA of what an asbestos fiber are worth documenting as
22 well.

23 DR. DYKEN: Okay. Can everyone hear me?

24 DR. HOLGUIN: Yeah.

25 DR. DYKEN: I think it seems like we're kind of

1 wrapping up this section. So what we thought we would do
2 is just take a five-minute break and then meet back at
3 11:30 and begin the next discussion item, which is fiber
4 content of collected sputum samples, if that's okay with
5 everyone. Okay. Thank you.

6 (Whereupon, a recess of approximately 12 minutes was
7 taken.)

8 DR. HOLGUIN: Should we get started? Erin has yellow
9 and red cards. And if we don't get started, we're going
10 to get a red card, which, in soccer, is a bad thing.

11 The next section will -- in this next section, the
12 members of the panel will be charged to discuss the
13 techniques on fiber content of collected sputum samples.
14 We have three panel members.

15 DR. ABRAHAM: We're still missing four, aren't we?

16 DR. HOLGUIN: We're missing -- so the charges are now
17 to discuss fiber content of collected sputum samples and
18 fiber content of collected BAL fluid. And again, I think
19 this can take on -- could take some different roads, but,
20 ideally, we should follow the questions that are being
21 charged upon, to discuss mainly what are the advantages
22 and disadvantages of this technique as a method for us to
23 see community level of exposure and is the technique more
24 suited to measuring exposure on an individual level.

25 And I think we could start with this question, and

1 then move on to the other questions, which is how can we
2 relate these measurements to a background exposure level,
3 and if there is any data to consider measurements related
4 to elevated exposure level.

5 DR. CARBONE: Fernando --

6 DR. HOLGUIN: Yes.

7 DR. CARBONE: -- can you say why we would do that
8 exactly? I mean, what would be the reason to do that
9 because everything else depends on why you do something?

10 DR. HOLGUIN: Sure. I may have to relay that new
11 question to the ATSDR folks because they're the ones who
12 did the questions.

13 DR. WHEELER: Why would we want to do the sputum
14 samples? Is that the question?

15 DR. CARBONE: What is the question that you want to
16 answer because you may want to do the sputum sample or
17 not, depending on what is the question that you want to
18 answer. So what is the question? And then --

19 DR. WHEELER: The first part of the question would be
20 do we find significant exposures above what a background
21 population would be at any particular site across the
22 country. Do we do that by going in and taking sputum
23 samples of those people that are there?

24 The second part of that question would be can we
25 relate the levels of asbestos in the sputum samples to

1 some kind of outcome, whether that is a progression of
2 disease or whether that's a measure of risk.

3 DR. CARBONE: But so you are doing that to identify
4 areas in which there is asbestos exposure, or are you
5 doing that to verify that there is asbestos exposure?

6 DR. WHEELER: It could be either. If we had data
7 like we have in California, of air, we would want to see
8 if the kinds of activity-based sampling that we're doing
9 there that is showing us that there is some kind of
10 exposure going on. Is that actually going on? Do we
11 actually see levels in people that are -- that are
12 participating in those activities in the community?

13 DR. CARBONE: Because the reason that I ask it is
14 that if you take the sputum sample, for example, they
15 would be very insensitive to verify whether, in a given
16 area that you have no idea of, whether there is asbestos
17 exposure. But they -- if you find that there is asbestos
18 in some sputum samples, since they're very insensitive,
19 they would certainly indicate that there must asbestos
20 exposure in a certain area.

21 So the same test can give you very -- can be totally
22 inadequate. For example, if you want to screen the United
23 States for exposure to asbestos or can, in fact, be
24 inadequate because even if it's true that most of the
25 sputum sample are going to give false negative results,

1 the fact is that they're easier to collect than take a
2 BAL. And therefore, you can take a hundred sputum
3 samples, and as far as you find five or six that contain
4 asbestos, then you can verify that, indeed, in that area
5 there has been asbestos exposure.

6 But then the question would also be how do you design
7 the study because, in other words, do you want really to
8 go out there and do sputum-sample analysis to find out
9 that there is an higher level of asbestos and then see if
10 there is a health outcome that is negative, but you want
11 to go the other way around; that is, you see where there
12 is high level, high incidence of mesothelioma, because
13 that's what we're talking about.

14 And then, where there is high incidence of
15 mesothelioma, you find out whether there is a higher than
16 background level in the United States of America of
17 asbestos. So you use mesothelioma as the sentinel to
18 identify areas where, in fact, there can be higher levels
19 than background of mesothelioma.

20 DR. WHEELER: Well, your second suggestion sounds
21 more like a research project to determine whether or not
22 sputum samples could be a marker of possible disease.
23 That is something that we would be less interested in
24 doing than in being able to go into the communities and
25 saying we can confirm that there's an exposure going on

1 here through this type of sampling.

2 DR. CARBONE: I suppose you can if my copanelists
3 agree because the test occasionally is going to find
4 asbestos. And as far as you find a few people who have
5 asbestos in their sputum, even if there is a high levels
6 of false negative, all you need is that. Say, you've had
7 three or four positive out of a hundred and that will
8 confirm that there is exposure to asbestos in that area.

9 DR. HILLERDAL: Unless, of course, they have been
10 occupationally exposed. You have to make a very thorough
11 investigation of that as well.

12 DR. ABRAHAM: And the mesothelioma issue will tell
13 you whether they had exposure 20, 30, 40 years ago. It
14 won't tell you what's going on in the community right now
15 unless it's an unusually stable community like the small
16 farm town where nobody moves.

17 DR. KAPIL: Yeah; just a follow-up comment to John's
18 comments. I think we're particularly interested in
19 hearing the panel's perspective on the usefulness of
20 sputum specimens in assessing exposure. Is it a useful
21 method? Is there any utility? Is there a correlation
22 between sputum fiber content and exposure and levels of
23 exposure, cumulative exposure, however you want to look at
24 exposure, and also whether different methods of collecting
25 sputum, you know, invasive, noninvasive ways of collecting

1 sputum. All of these types of things is sort of what we
2 had in mind when we put this on the table for discussion.

3 DR. HOLGUIN: Dr. Dodson.

4 DR. DODSON: You know, I heard a lot of terms that
5 were used in some of this earlier discussion that's got me
6 a bit confused of the target site. You're talking about
7 disease. I mean, to me, that means something like
8 cytopathology screening. But if you're talking about
9 asbestos exposure with the sputum samples, based on the
10 data that my colleague Don Greenberg assembled over a
11 number of years, the sputum marker for asbestos exposure
12 is a ferruginous body.

13 That marker is defined in a group of amosite workers
14 he exposed to long amphiboles, the type that form
15 ferruginous bodies, and was only a positive find in about
16 a third of those people, about a third of the samples. So
17 you're talking about a lot of samples. Inclusion from all
18 that was if you find one that indicates an exposure of
19 what is above general background based on his data from
20 some studies in Houston with a large number of samples
21 from several hospitals.

22 And if you're talking about asbestos exposure, you've
23 opened the door to the second aspect of it, which is can
24 sputum samples be used for analysis of fiber content. If
25 you do that, you're talking EM. And I gave a couple of

1 references, one of which is ours, that I think are the
2 only ones I know of that really have looked at the fiber
3 burden in sputum and the amosite group that was reasonably
4 reliable.

5 But again, they were heavily -- heavily exposed
6 individuals to amosite asbestos. The uncoated fiber
7 burden of the sputum was much more important in that -- in
8 that very small group than ferruginous bodies is showing
9 -- showing past exposure. You know, my conclusion from my
10 section of that was it's rather insensitive -- the open
11 question of the fibers. And I think that's a really
12 interesting issue that would require some special
13 applications to even try to get that answer.

14 DR. ROGGLI: Additional comment about that. I agree
15 with what Dr. Dodson is saying that the reason of -- I
16 mean, the obvious reason that the sputum has come up as a
17 possible source of information in these communities is
18 because it's readily accessible. You could -- you could
19 get samples from virtually everybody in El Dorado Hills or
20 Libby, Montana, who's willing to spit in a cup, even
21 though some, I think, in the comments pointed out that you
22 have to make sure that you're really getting what we call
23 sputum samples instead of just saliva.

24 Well, there's cytological techniques of doing that.
25 You just make a cytology smear and determine whether it's

1 a satisfactory or unsatisfactory for that particular
2 specimen and analyze it once if it's a satisfactory
3 specimen.

4 The disadvantages of it, as Dr. Dodson has said, is
5 it's relatively -- it's a very insensitive technique.
6 Only one-third of the Tyler workers that were heavily
7 exposed to amosite did have asbestos bodies in their
8 sputum samples that Dr. Greenberg analyzed, but those
9 workers on average worked for a short period of time. It
10 was -- it was either three weeks or three months, which
11 was the average duration of employment at that plant. It
12 was so dirty that people just wouldn't work there. But,
13 on the other hand, the levels of exposure were hundreds of
14 times greater than the current permissible exposure limit
15 in the workplace.

16 So there were -- there was heavy exposures going on
17 to everybody in those plants. The sputum asbestos body is
18 exquisitely specific for asbestos exposure, but it's not
19 very sensitive. And the way we know about specificity is
20 that Dr. Greenberg analyzed 11,000 sputum samples from the
21 Harris County Hospital district and found six individuals
22 who had asbestos bodies in their sputums. All six of
23 those individuals worked at one particular plant where
24 they were exposed to asbestos, and five of them had
25 asbestosis. Nobody else had any asbestos bodies in their

1 sputum. So it's exquisitely specific but not very
2 sensitive for detecting asbestos exposure.

3 And as Dr. Dodson mentioned, that you get a -- you
4 increase your sensitivity somewhat by doing electron
5 microscopy on the samples as compared to just looking for
6 asbestos bodies. But what you're trying to compare is
7 does this community, with a certain exposure, have more
8 asbestos in its sputum than a control community without
9 that exposure. And I'm not at all convinced that sputum
10 is sensitive enough that it's going to answer that
11 question over the background noise. I don't -- I'm very
12 skeptical that you would get a significant difference in
13 the groups.

14 DR. CASTRANOVA: What increases the noise is the
15 variability of the collection of the sputum. A sputum's
16 not invasive. Sputum collection is not invasive, but it's
17 not -- not easy to get a sputum sample that came from the
18 same region of the lung, person to person, time to time,
19 day to day. And that variability is on top of the
20 variability in counting the fibers, and so again, that
21 adds to the variability, adds to the lack of sensitivity.
22 So I agree with you. I don't think it's a very good
23 technique.

24 DR. HOLGUIN: Jill.

25 DR. DYKEN: I just had a clarifying point that we're

1 not interested just in measuring asbestos bodies but also
2 the possibility of measuring uncoated fibers in sputum.

3 DR. ROGGLI: Yeah. That's why I mentioned that. If
4 you use electron microscopy to analyze the uncoated
5 fibers, then it increases your sensitivity a bit. And
6 there's very few studies have done that. Dr. Dodson has
7 published one of them, looking for fibers, and he found
8 amosite fibers in the sputum of workers where he couldn't
9 find asbestos bodies in their sputum. So it does increase
10 your sensitivity.

11 But because of what we found in terms of asbestos
12 bodies, of the very insensitive nature of it -- even
13 though you pick up a little bit of sensitively with
14 looking at fibers by EM, I don't think it's going to be
15 enough to detect the small differences that you're trying
16 to find between environmental exposures in one community
17 versus one without that info.

18 DR. HOLGUIN: A quick question. Would the
19 sensitivity of detecting some of the sputum fibers depend
20 on the timing of the exposure? I mean, when you do a
21 biopsy, you find them on interstitial space anatomically.
22 I mean, how often are they on the airways unless you've
23 been exposed recently? So if you were exposed a long time
24 ago, all of them are past beyond the terminal bronchioles
25 into the interstitial space. I mean, I'm just talking

1 like a pulmonologist. I'm not --

2 DR. ROGGLI: Yeah. Well, there's -- that's -- I
3 think that's another point into the variability because
4 some individuals who have been heavily exposed to
5 asbestos, you find lots of asbestos bodies and presumably
6 fibers sitting in the alveolar space along with
7 macrophages. In others, you find it mostly in the
8 interstitium.

9 And you suspect that the ones in which it's mostly in
10 the interstitium and there's very little accumulation in
11 the alveolar space are going to be the ones that are going
12 to be negative on a sputum sample. But as long as that's
13 collecting in the alveolar space, there's a potential for
14 it to move up the mucociliary escalator and then be --
15 appear in a sputum sample.

16 DR. HOLGUIN: So it's a huge time component in there.

17 DR. ROGGLI: Yeah.

18 DR. ABRAHAM: Also, I don't know of any sputum
19 studies of fibers that have tried to look at induced
20 sputum as opposed to spontaneous sputum, and I -- I've
21 just become aware of, you know, some of the work that's
22 been going on by Dr. Fireman and a group in Israel about
23 using induced sputum to look with much more sensitive.
24 They've compared it to spontaneous sputum for things other
25 than asbestos, for other kinds particulate material mostly

1 in the occupational setting.

2 But I think that would require some investigation to
3 see if that would improve the sensitivity to the point
4 where it would be useful for screening because, certainly,
5 even though it's -- I've never had it done. It's mildly
6 annoying, I'm sure, to have induced sputum. It's less
7 annoying than bronchoalveolar lavage.

8 DR. CARBONE: But we were not talking about
9 screening. We were talking about verifying asbestos
10 exposure, in which case, even if, as we all agreed here,
11 the technique is not sensitive. Since it's very specific,
12 it's valid because you take a hundred samples, you get two
13 or three that are positive. You verify that there is
14 asbestos exposure. It's very simple.

15 DR. ROGGLI: But the problem is that you may get a
16 hundred samples from a community, say, in El Dorado Hills
17 and a hundred samples from another community, find no
18 difference between the two, and yet if you analyze the
19 lung samples, there would be a significant difference
20 between the two. So that's what I'm worried about is that
21 the sputum is not going to be sensitive enough to detect
22 that difference that might be present.

23 DR. CASTRANOVA: And also, the sputum, by the
24 definition of the technique, is sampling the conductant
25 airways and not the alveolar region of the lung. So it's

1 not sampling the site where the disease is occurring. So
2 again, that adds to the variability.

3 DR. HOLGUIN: Dr. Dodson.

4 DR. DODSON: Let me just say that, again, what I said
5 about Don Greenberg's study, in those that were positive
6 producers of ferruginous bodies in the sputum, there were
7 approximately a third of the study group, and they found
8 positive samples in a third of those collected in multiple
9 sampling sequences. So it's highly insensitive.

10 The other aspect is ferruginous bodies form only on
11 longer fibers that are greater than 8 or 10 microns in
12 length, whichever you like as a number. But they form on
13 those, and some people don't readily form them. So the
14 ferruginous body part becomes even more of a problem with
15 sensitivity. Uncoated fiber part was surprisingly
16 sensitive in what we looked at, which was very limited.
17 But it also had the advantage of having a particular type
18 of asbestos exposure to the people whose sputum we looked
19 at.

20 That has a similarity to the question at hand for
21 this particular group. And I don't know the answer
22 because the water gets fairly deep when you try to do EM
23 of sputum and do the digestion techniques, but -- but as
24 far as I know, that was the basis of -- of our observation
25 that there are applicabilities to this group with the

1 uniqueness of the type of fiber. But by the same token,
2 there's not a lot of background information. Ferruginous
3 bodies, there's a lot of background and it all seeks the
4 same thing. It's very insensitive.

5 DR. HOLGUIN: How about specificity? Specificity?

6 DR. DODSON: Of what?

7 DR. HOLGUIN: The fibers of the sputum for a
8 technique.

9 DR. DODSON: Well, if you're going to do the EM part
10 of that, as we've discussed before, you know what the
11 fiber is if you're doing EM. The sample preparation is a
12 challenge.

13 DR. ABRAHAM: I was just going to mention that
14 there's one example we've looked at without exotic sample
15 preparation, just of making smears of the sputum, from a
16 geologist who did some field sampling in the El Dorado
17 area where we did find tremolite in the sputum without any
18 kind of exotic preparation.

19 So we know he was working, chipping away at rocks and
20 things like that to collect samples, and he coughed up
21 some sputum -- nonsmoker, I believe -- in which the
22 tremolite actinolite type of fiber could be identified.
23 So it's certainly theoretically possible, but again, it
24 doesn't -- the anecdote doesn't tell you if it's useful
25 for a survey of a community.

1 DR. CARBONE: So the way I understand it that we seem
2 to agree on the fact that this technique is quite
3 insensitive, not too very specific, and that, therefore,
4 in a situation in which you do not have occupational
5 exposure but you have just a bulk background levels, even
6 if you find that everybody's negative, that doesn't mean
7 that there is not asbestos exposure in that particular
8 area.

9 And therefore, we cannot rely on the sputum to screen
10 because there is too much risk of false negative even if
11 you analyze a hundred or a thousand sputum samples. The
12 problem is that, as we all know, that's really the only
13 thing that we can work on because bronchoalveolar lavage
14 are absurd to think to get it because nobody's going to
15 want it, and all the other specimens are not easy to get.
16 So the question goes back to the EM possibilities that Dr.
17 Dodson was discussing and whether there is a way to
18 improve on that because the sputum is something we can
19 work with. Anything else is hypothetical and very close
20 to impossible to get.

21 DR. WEISSMAN: And with sputum, you know, as you say,
22 there's so much developmental work that would need to be
23 done at this point, particularly in terms of doing EM and
24 looking at fibers as opposed to look at asbestos bodies.
25 There's so little background information that exists, you

1 know, in terms of normal levels, in terms or correlation,
2 you know, with lung burdens.

3 You know, the, you know, data for asbestos bodies, in
4 terms of looking at large numbers of smears, you know, is
5 really good, in terms of the insensitivity, not
6 specificity. Another issue is the one that Vince raised,
7 which is the issue of -- of paying attention to the
8 technique of obtaining induced sputum.

9 In asthma, in looking at inflammatory components in
10 sputum as a way to gauge asthma, it's really critical how
11 you obtain the sputum. It's really critical which
12 component of the sputum you pick in order to do the
13 analysis for cells and cytokines. And there's limited
14 data. There's one, you know, paper from, you know, from
15 Ron's group actually where it's mentioned that increased
16 numbers of fibers were found in samples that were induced
17 relative to -- irrelative to spontaneous and that
18 increased numbers of fibers were found in samples when the
19 globs were picked out of the sputum and analyzed as
20 opposed to, you know, taking the whole sputum.

21 But, I mean, very little has been done, you know,
22 sort of developmentally in terms of saying, how do you
23 collect it. How do you process it? In the large studies
24 that were done in Texas -- I believe that was a
25 retrospective analysis where they went back and looked at

1 slides that were primarily done for the purposes of
2 looking at sputum cytologies, you know, for cancer, you
3 know, as opposed to actually specifically collecting a
4 specimen and processing it and filtering it, you know, to
5 get the full, you know, fiber asbestos body content. So
6 if you actually were doing the procedure specifically to
7 look at fiber exposures, you know, the performance
8 characteristics, you know, might be different, but we just
9 don't know.

10 I mean, the bottom line is that there's a lot of
11 developmental work that would need to be done, you know,
12 to apply it, and the likelihood is -- what would be more
13 useful would be EM analysis, you know, rather than, you
14 know, light microscopic analysis because of the issues
15 that have been raised.

16 DR. DODSON: Just to make sure, since we're on the
17 record and to clarify the point, when you said that about
18 the Tyler project you were totally correct, but you used
19 the term "fiber," and those were ferruginous bodies. Just
20 so they don't get --

21 DR. WEISSMAN: Thank you.

22 DR. DODSON: -- somewhat confused. Fiber is a
23 different animal.

24 DR. ABRAHAM: One possibility, though, if we look at
25 the size distribution of the fibers, for example, in El

1 Dorado, is it possible that light microscopic screening
2 would be sufficiently sensitive to detect the uncoated
3 fibers with darkfield or something like that? I don't
4 really know.

5 DR. WEISSMAN: Based on the R. J. Lee report, which
6 said that most of the fibers were short, you would expect
7 that you wouldn't be making ferruginous bodies.

8 DR. ABRAHAM: No. I'm talking about uncoated fibers
9 that are long, not short. There are plenty of long fibers
10 there.

11 DR. WEISSMAN: Okay.

12 DR. ABRAHAM: Just -- just -- would light microscopy
13 in the matrix of digested sputum be an expedient way to
14 screen for fibers if we know the fibers have a large
15 enough diameter?

16 DR. DODSON: How would you know what the fiber was?

17 DR. ABRAHAM: Well, you'd have to do some controls of
18 that.

19 DR. DODSON: You'd have to analyze some of them to
20 determine --

21 DR. ABRAHAM: Right.

22 DR. DODSON: Yeah. I mean, I'd -- there's a lot --
23 there's a lot of fibers in the environment that aren't
24 asbestos, in any environment.

25 DR. STAYNER: Could I make a comment? This is an

1 area that I'm really not an expert in, so I think
2 everything's been said. But one thing I noted is that
3 there seems to be some suggestion in the literature that
4 chrysotile doesn't form ferruginous bodies, so that might
5 be one limitation. And I would think that these methods
6 and probably ELISA BAL would be separate from the same
7 thing as looking at lung burden that chrysotile doesn't
8 persist.

9 But, you know, it sounds to me that this idea of
10 looking -- it's properly collected and using EM methods is
11 a promising technique that maybe just needs some further
12 research to see how much that increases the sensitivity of
13 the method.

14 DR. HILLERDAL: I think maybe we should not
15 completely dismiss the lavage. You said it's completely
16 unpractical. And, of course, it is on a large level. But
17 maybe we could pick out some volunteers, maybe even pay
18 them some sum, and -- and because -- the only thing we're
19 looking for is exposure, and I think that is much more
20 sensitive. So if we could have -- maybe we only need 10
21 or maybe 20 people with some known residence in this area,
22 and we make -- and we make a lavage on them. Maybe that
23 would be enough just to prove that there is an exposure if
24 that's what we are doing because that is much more
25 sensitive; isn't it?

1 DR. ROGGLI: Well, I don't think we've dismissed
2 lavage yet because I think we were just talking about
3 sputum right now; right?

4 DR. HOLGUIN: Yeah. But, you know.

5 DR. DYKEN: We can move on.

6 DR. ROGGLI: Okay. Because I agree with you about
7 the lavage. I don't think it's impossible to do. There's
8 plenty of studies have been published looking at
9 bronchoalveolar lavage where you pay normal volunteers
10 whatever the market will bear to have a fiberoptic
11 bronchoscope put down their lungs and washed out, and
12 there are people who will do that for money, and it's a
13 pretty safe procedure.

14 DR. CASTRANOVA: Yes.

15 DR. ROGGLI: And so that's not an insurmountable
16 difficulty in doing those sort of studies. But again,
17 you'd have to compare it and make a careful comparison
18 between the community which you're trying to study versus
19 an unexposed community.

20 DR. HOLGUIN: Sure.

21 DR. CASTRANOVA: And the advantage of the
22 lavage -- it's much more reproducible with techniques of
23 collection --

24 DR. ROGGLI: More sensitive.

25 DR. CASTRANOVA: -- than sputum. It's much more

1 sensitive. It shows a good relationship to exposure, a
2 good relationship to disease.

3 DR. ROGGLI: And a good relationship to the lung
4 burden as well. That's been demonstrated.

5 DR. CASTRANOVA: Exactly; exactly.

6 DR. GUNTER: Just a question about L and K. What
7 were the size of those particles because you're asking
8 about light microscopy. How big were those particles?

9 DR. CASTRANOVA: Well, many of them are .4 or .5
10 microns diameter; 5, 10 microns in length.

11 DR. GUNTER: And that's pushing the adjuvant being
12 able to do -- use some technique called dispersion
13 staining with light microscopy.

14 DR. ABRAHAM: Yeah. Pushing that --

15 DR. GUNTER: Pushing the adjuvant, but you could
16 identify them somewhat that way.

17 DR. ABRAHAM: But probably electromicroscopy is more
18 available than dispersion staining anyway.

19 DR. GUNTER: Well, if -- more available.

20 DR. ROGGLI: They still have to pick them up.

21 DR. GUNTER: But it's not more available. I mean,
22 the light microscopy's readily available.

23 DR. ABRAHAM: I mean, the expertise --

24 DR. GUNTER: Yeah. There's no real expertise in
25 light microscopy unfortunately, but -- but those are

1 techniques that could be used if the particles were a
2 little bigger.

3 DR. DODSON: [Off microphone]

4 DR. GUNTER: Yeah. If they're --

5 THE COURT REPORTER: Microphone.

6 DR. DODSON: I just said, "Make a mass, concentrate
7 them into a mass, and then use the PLM dispersion
8 staining."

9 DR. HILLERDAL: I was just going to say that lavage
10 -- you said it's reproducible, it's safe, and so on. And
11 it is, of course. But there are caveats even at that.
12 The difference is because it depend -- what you do
13 actually is that you pull down water, physiological water
14 and body temperature, and then you suck it back. But the
15 -- it depends very much on the patient and his -- and his
16 bronchi. Some have a very flaccid bronchi, and when you
17 start suction, they will go together so you will get very
18 little back.

19 So you would have to correct for that, and you have
20 to have a certain procedure for doing it. In patients
21 with chronic bronchitis, you will have all kinds of other
22 stuff on it. But with these caveats, I think it's a very
23 good -- it's a very good one, and we could actually pick
24 out -- and I think, again, we come back to these young
25 adults who have not had any occupational exposure but have

1 lived all their lives in these areas. These would be the
2 most interesting ones to investigate. And if you find
3 asbestos in the lungs, you know they have inhaled
4 asbestos.

5 DR. CARBONE: I don't know how much luck one has in
6 getting the volunteer for bronchoalveolar lavage. But one
7 thing is for sure, and that is that you will be dependent
8 on who volunteers. And so you will never have the cohort
9 of people that you want to sample. And I have right now
10 the same exact problem in my -- in the cohorts that I'm
11 studying in Turkey. And I will never have the people that
12 I want to study if I were to go with bronchoalveolar
13 lavage. That's why there is a huge advantage if you can
14 use sputum.

15 I understand that unfortunately it's insensitive, but
16 the only hope would be if Dr. Dodson can develop a
17 sensible method using electron microscopy because that's
18 the only thing that I can get from everybody. Otherwise,
19 you are limited by the bias and the fact that the people
20 that you want to sample will not come and you get other
21 people.

22 DR. HILLERDAL: Well, we have no problems getting
23 volunteers because we pay them when we want. You know, so
24 it's -- but, of course, there is a selection; not everyone
25 we want to do it. But really, it's not -- it's not --

1 I've done it. I had it done on myself, and it's no --
2 it's no big effort really.

3 DR. HOLGUIN: You wouldn't get me for sure
4 (laughter).

5 DR. CARBONE: It depends on how much you pay
6 (laughter).

7 DR. ROGGLI: It sounds like to me like the bias would
8 be selection against rich people.

9 DR. WEISSMAN: Well, actually there are ethical, you
10 know, issues. You can't pay enough to induce people, you
11 know. But I'll go one up on you, I've been lavaged three
12 times for research studies, and it really isn't, you know,
13 that big of a deal.

14 But, obviously, you know, the problems in terms of
15 actually implementing and doing a lot of people -- yeah.
16 Those problems are real, and your concerns with that are
17 well taken. And maybe the role would be more confirming
18 the results of sputum, which you could do on a broader
19 range of people, or as, you know, was said earlier,
20 picking a small subpopulation, so...

21 DR. HOLGUIN: One comment from the ATSDR table.

22 DR. KAPIL: Just sort of a related question to this
23 discussion. Earlier when we had the discussion on biopsy
24 specimens and there was a concern raised by the group
25 about biopsies usually being done for lung cancer and

1 there would be sort of a systematic bias introduced if --
2 would a similar concern be present if -- if lavage samples
3 were utilized in -- from patients in whom lavage was being
4 done for some reason already or bronchoscopy was already
5 being done. Would the panel be able to comment or think
6 about that? In other words, if a patient is already
7 having a bronchoscopy done for some purpose.

8 DR. ABRAHAM: But why do people have bronchoscopies?
9 You know, either they're being worked up for cancer or
10 suspected for it. Sometimes people with interstitial
11 disease will have a lavage done.

12 DR. KAPIL: That's the question I'm asking.

13 DR. ABRAHAM: So certainly, not in a control
14 population.

15 DR. STAYNER: I'm thinking about a study with someone
16 who's doing bronchoscopies here in Chicago, and it's
17 something like 30 percent of them are lung cancers. Maybe
18 that's just unique to this hospital, but of those, you
19 would expect -- again, a large percentage would have
20 asbestos exposure.

21 DR. ABRAHAM: There was that national study, the
22 ACCESS study for sarcoidosis which did transbronchial
23 biopsies and, I believe, lavage. And maybe they've
24 archived all their lavage filters that could be looked at.
25 They have extensive information on all the people that

1 participated. I think there are hundreds of people, maybe
2 more.

3 DR. WEISSMAN: In terms of the ethics of obtaining
4 samples, I mean, there have been a number of working
5 groups that have looked at this. And it's ethical to
6 perform bronchoscopy and bronchoalveolar lavage on
7 volunteers, you know, even who aren't having the procedure
8 for clinical purposes.

9 And it's well, well established that people who are
10 undergoing bronchoscopy for clinical indications like
11 cancer that it's ethical to go ahead and add a lavage to
12 the bronchoscopy on the contralateral, you know, side,
13 setting aside the issues of selection bias, you know,
14 which obviously are real concerns. But there are no
15 ethical problems with doing those things.

16 DR. KAPIL: Go ahead.

17 DR. WHEELER: Could you comment on my second question
18 I had from the communities there? Is there any test that
19 we can have done on ourselves to confirm or deny whether
20 we've been exposed to asbestos? Would bronchoalveolar
21 lavage be something that you think you have enough
22 confidence in to recommend?

23 DR. HILLERDAL: Of what everything we have talked of
24 today, I think bronchoalveolar lavage would be the best.
25 Of course, we will have false negatives there as well.

1 But I think that would be the best test to really
2 establish whether they have been exposed or not, if one
3 could stand it.

4 DR. CASTRANOVA: Is there a reasonable correlation
5 between fibers in bronchoalveolar lavage and fiber counts
6 in lung?

7 DR. ROGGLI: It's not quite perfect so that's -- I
8 think that you'd get more information if you had lung
9 tissue, but you're not going to get lung tissue --

10 DR. CASTRANOVA: Exactly.

11 DR. ROGGLI: -- on an unselected population. So my
12 own feeling is that the best approach would be a
13 combination of medical examiner cases -- young individuals
14 has been suggested -- looking at their autopsy lung
15 burdens and an analysis of bronchoalveolar lavage by
16 electron microscopy for fibers that have been recovered in
17 careful case-control groups for both types of studies.

18 DR. CARBONE: Excuse me. I would understand that
19 finding out if there is exposure in a community so that
20 regulatory agencies can try to take measures to reduce the
21 exposure to a community. But I would not understand why
22 you would want to give the answer to somebody, why that
23 would be our concern, whether he has been exposed or not
24 because that should not be, in my opinion, something that
25 we should worry about in particular because once you've

1 told this individual, "Yes. You have been exposed," now
2 what?

3 DR. WEISSMAN: Absolutely. I agree with you
4 completely on that. I mean -- I mean, I don't think that
5 one would want to lavage an individual for clinical
6 purposes just to do some sort of mineral or fiber analysis
7 on their lavage fluid because I really echo you. You
8 wouldn't be able to do anything with that data for that
9 individual. I think it's okay to do it within the context
10 of a designed, you know, ethical research study, you know,
11 where you're aggregating data, but not to give individual
12 medical advice.

13 DR. ROGGLI: The only thing you'd be able to say in
14 that circumstance is if you analyzed two groups and you
15 had a large enough in numbers and you did find a
16 difference between the two populations. You could say
17 that in the individual in the exposed population, whether
18 they had a lavage result which was no different from the
19 control groups or was elevated compared to the control
20 groups -- you could give them that much information. But
21 again, what that means in terms of risks, that's much more
22 difficult to say anything about.

23 DR. ABRAHAM: I think the individual patients have
24 the right to ask for whatever test they want, and it's up
25 to the ethics of the physician to decide if the -- if it's

1 an ethical request. But I don't think we should say we
2 won't give them that information. I think that's going a
3 bit too far.

4 DR. CASTRANOVA: Another advantage of bronchoalveolar
5 lavage is while you're collecting the material and
6 counting the fibers, you also have the cells there. And
7 so you can add a component where you actually look at the
8 activity of the cells to cytokines produced by a cell, and
9 you get some feel for dose response in the early stages
10 of, perhaps, a disease process, which -- I don't know what
11 the answers would be right now, but perhaps you could
12 build up a database that would give you some answers.

13 DR. CARBONE: What would you measure exactly?

14 DR. CASTRANOVA: Well, you would measure inflammatory
15 cells. You would measure inflammatory cytokines from the
16 cells. For instance, in animal models, they are exposed
17 to asbestos. Look at inflammatory cytokines. Then try
18 and look at fibrogenic factors and try to see early events
19 that are driving the disease process.

20 DR. CARBONE: How? I mean, what kind of test?

21 DR. CASTRANOVA: Actually, a relatively simple test
22 would be cell counts and cytokine levels.

23 DR. CARBONE: So you're basically measuring the
24 inflammatory reaction?

25 DR. CASTRANOVA: Yes; yes.

1 DR. ABRAHAM: But you wouldn't know what to correlate
2 that with because you'd be measuring asbestos, but you
3 wouldn't --

4 DR. CASTRANOVA: No.

5 DR. ABRAHAM: -- know what it's inflammatory
6 responding to. It could be responding to anything.

7 DR. CASTRANOVA: Yeah. And you would have to -- you
8 would have to know that. And when you did the lavage, not
9 only would you know the fiber counts, but you would know
10 what the other materials in the lavage, the other
11 particles in lavage as well. So you would know if there
12 was another driver of the inflammation.

13 DR. ABRAHAM: That would be -- that would be a much
14 bigger, broader research project, which would not be
15 uninteresting, but it would be quite separate from --

16 DR. CASTRANOVA: No. You have that material.
17 Instead of throwing it away is all I'm saying is if you
18 have -- the hard part is getting the volunteers. Once you
19 have the volunteers, you might as well exploit that
20 material as much as you can.

21 DR. ABRAHAM: You might approach -- you know, to get
22 young volunteers, approach college students and motorcycle
23 clubs and things like that (laughter).

24 DR. CASTRANOVA: Actually, that's been my experience.
25 All our volunteers are first-year med students.

1 DR. ABRAHAM: Dirt-biker clubs, they'll do anything
2 for a couple of hundred dollars.

3 DR. ROGGLI: Well, another point about the
4 bronchoalveolar lavage fluid is what do you standardize
5 the results to. Typically, results have been reported in
6 terms of milliliters of bronchoalveolar lavage fluid that
7 were recovered, not what was injected.

8 And as Dr. Hillerdal mentioned, there is variability
9 in how much recovery is depending upon the disease
10 conditions and other variabilities for that particular
11 individual. One of the things which we did in our study
12 was try to normalize to another marker, which was cells
13 recovered, number of cells recovered, to see if that might
14 make a difference. And actually, we got exactly the same
15 results in terms of cutoff for sensitivity and specificity
16 in looking at fibers per milliliter of BAL versus the
17 fibers per million cells recovered. It really didn't make
18 any difference.

19 So I'm not sure about any other better way to
20 normalize the data than what's been reported in that
21 regard.

22 DR. ABRAHAM: Yeah. I mean, you'd have to put in
23 some sort of tracer to know what your recovery is, like a
24 dye or albumin or something.

25 DR. HILLERDAL: That has also been tried, and I'm not

1 sure that they saw any convincing results from it. This
2 is problem. And it's very individual how much you get
3 back. Also, you have to have a very -- various things of
4 doing. Our model in Stockholm is that we take 50 mil five
5 times, you know, and from the first -- first you get very
6 little back, and the last ones you get more back.

7 So -- and we say that if we don't get more than half
8 back, then it's really difficult to draw any conclusions.
9 And sometimes I get 150; sometimes I get even over 200
10 back. And it's all very individual. In some patients,
11 it's very difficult to get -- get enough because they have
12 such flaccid...

13 DR. HOLGUIN: It's also very dependent on where you
14 sample. You know, typically lower lobes return and --

15 DR. HILLERDAL: Yes. That's another thing. You have
16 to have gravity on your side. So the best thing is to do
17 the middle lobe or the lingula lobe because they are -- if
18 you do it on the lower lobes or in the upper lobes, you
19 are in trouble. Then you will not get much back. Of
20 course, you could -- I don't know if that has been tried.

21 DR. ABRAHAM: Despite this problem with varying
22 recovery, it's really amazing that there is such
23 correlation with the tissue burden.

24 DR. HILLERDAL: Yes.

25 DR. ABRAHAM: Because you have a denominator that

1 determines your concentration on that.

2 DR. HILLERDAL: Yes; yes. What you have to do is you
3 have to have a strict protocol, and you have to do -- you
4 have to have the same protocol with the controls. That's
5 the only way you can make some kind of comparisons. But
6 then, I think, it's very useful.

7 DR. ROGGLI: Well, I think the reason is because
8 you're sampling millions of alveoli with the washing and
9 the -- I think the latest studies show that adult human
10 lungs have together 700 million alveoli. And if you were
11 sampling several million, you're getting a pretty good
12 statistical sample. And that's why it does correlate
13 pretty well with what lung burden is.

14 DR. HILLERDAL: Of course, you get only this that is
15 out in the alveoli. You talked about those where the
16 fibers get into the lung proper. Then, of course, you
17 wouldn't get anything out by your lavage, will you? And I
18 think that's another advantage of having people who are
19 young and presumably recently exposed because --

20 DR. ROGGLI: And healthy.

21 DR. HILLERDAL: And healthy; yes.

22 DR. WEISSMAN: But lavage studies, even in people
23 with long-term chronic exposure, you know, still show a
24 good correlation with lung burden, so, you know, it likely
25 is the case that there's not unidirectional movement of

1 fibers. I mean, they could go the other way, you know,
2 back into the alveolar space too.

3 DR. HOLGUIN: That's a good question. If you have
4 the asbestos fibers in your airways, do they become
5 aerosolized? I mean, do they -- once you breathe, do they
6 detach from the airways and they're moving around? I
7 mean, is there any way to collect them using -- does
8 anyone have experience in exhaled breath condensate
9 samples? Has anybody reported that? I know we've had
10 some good success measuring, you know, markers of Libby
11 population and other things.

12 DR. ROGGLI: The mucus blanket in the respiratory
13 track is pretty sticky. Once something lands on that,
14 it's -- it's very unlikely it's going to get
15 re-aerosolized. Certainly, there are fibers that don't
16 deposit at all, particularly very short ones that you
17 breathe in and you breathe right back out. But once, I
18 think, it lands on the airway surface, I don't think it's
19 going to get re-entrained into the air; a very low
20 probability of that.

21 DR. WEISSMAN: There are huge problems with
22 standardizing exhaled breath condensate, and there's much
23 controversy about that, as you know. Most of what you get
24 is just exhaled water vapor, you know. So in terms of,
25 you know, what you use as your denominator, it -- it still

1 remains to be worked out even for markers of inflammation.

2 DR. HOLGUIN: Sure.

3 DR. DODSON: The -- I guess it's a given that the
4 other thing about that process with those -- with the
5 fiber analysis is the specificity of type exposure. And
6 one study we did was highly -- we can correlate what was
7 retrieved in the lavage with what was projected at least
8 as having been the type of exposure.

9 So in a case of a general population study group that
10 has exposure to something and is unique, the lavage would
11 let you not only determine the presence of whatever that
12 was but also specifically identify the type that factored
13 back into whatever the exposure area supposedly consisted
14 of.

15 DR. STAYNER: Can I ask some questions about -- this
16 sounds like a really promising technique of all the things
17 we've talked about. But I wonder, when people talk about
18 a high correlation between lung burdens and measurements
19 of BAL, are those in studies of high-exposure populations?
20 And has this really been sort of validated at
21 environmental levels?

22 DR. ROGGLI: It's been looked at mostly for asbestos
23 bodies, and the correlation is good over several orders of
24 magnitude of levels in the lung tissue. So it correlates
25 well from low to high lung burdens. I don't know that

1 there's nearly as much data for uncoated fibers by SEM,
2 but I think there is some -- or by TEM either one.

3 DR. DODSON: Yeah; both. There is some. Yes.
4 There's quite a bit from the European study groups and
5 their correlations have both been to ferruginous bodies,
6 as Dr. Roggli said, but also uncoated fiber burden
7 extrapolated back to tissue burden in some cases.

8 DR. STAYNER: But these are in occupational cohorts,
9 or are these in population-based studies, I guess?

10 DR. ROGGLI: It's a combination, I believe.

11 DR. WEISSMAN: It's a range. Yes.

12 DR. DODSON: Yes.

13 DR. GUNTER: I had a question that I put in my
14 comments. And I was curious -- and again, this just shows
15 my ignorance in some of these areas. I'll give you an
16 example first, not of my ignorance, but something that we
17 did. If we're looking at chrysotile, one of the concerns
18 is the tremolite in chrysotile.

19 Well, one way that I had the idea to look for that is
20 to do a bulk chemical analysis and use calcium as a proxy
21 for tremolite content. We're not in asbestiform versus
22 non. This is bulk content. So it's an indicator, and if
23 you measure the calcium content -- and we did this. We
24 spiked a lot of samples, and you could then determine the
25 calcium and predict the maximum tremolite in that sample.

1 Okay. That works.

2 And that paper will soon be coming out in the
3 *American Mineralogist*. But as an example of that, in
4 these different fluids that exist, have people looked at
5 the chemistry of these fluids and tried to relate that
6 chemistry to anything? Possibly even digesting -- and
7 again, I don't know the mineral. You could do the
8 calculations. But the mineral load, if you could dissolve
9 those minerals and then measure some of the elemental
10 compositions at low levels as a proxy for the mineral
11 content as a way to cross-check some of your accounting
12 statistics, or is that just too -- too wild, like a
13 geologist would think?

14 DR. ROGGLI: There was a wide variety, I think, of
15 calcium levels from various disease states you can find in
16 the normal human lung. Plus there's probably a lot of
17 calcium that's normally there physiologically. So I think
18 there might be in -- a lot of noise there in terms of
19 looking at the human fluids and trying to figure out how
20 much of the calcium came, for example, from tremolite
21 versus normal physiological solutions.

22 DR. GUNTER: How about -- not calcium. That was the
23 example in this one point. How about just silica or
24 silicon? How much silicon's there?

25 DR. ROGGLI: Well, there's a whole lot more silica in

1 nonfibrous particles in the lungs than there are from
2 asbestos. So looking at silica alone in a lung sample is
3 not going to give you a good measure of what the asbestos
4 level is, I don't believe.

5 DR. GUNTER: But again, that might be correlated to
6 mineral content.

7 DR. ROGGLI: Overall, yeah. Yeah.

8 DR. GUNTER: I mean, should --

9 DR. ROGGLI: Total mineral content?

10 DR. GUNTER: Yeah; because, I mean, the goal is to
11 try to find something, and some of these things may be
12 new. And these may be new -- you don't want to create
13 entirely new research projects, but if there's some
14 indicator like, again, if you digest the sample, look at
15 the silica content, and then if you knew the ratio of
16 asbestos fibers -- once you know the mineral amount, you
17 could then get an idea there of the exposure.

18 DR. HOLGUIN: This is the expected break that
19 everybody is thinking about. And we are to be -- to meet
20 back here at two o'clock, is that correct, Jill?

21 DR. WHEELER: Yep.

22 DR. HOLGUIN: Have a good lunch.

23 (Whereupon, a recess of approximately 76 minutes was
24 taken.)

25 DR. SINKS: I'm Tom Sinks, director of ATSDR, and I

1 apologize I wasn't here this morning or Dr. Frumkin wasn't
2 able to be here this morning to welcome you all. We had a
3 series of briefings on the things that took us away, and
4 we were over at Clifton Road in the director's office,
5 dealing with them. So we weren't able to get over here.
6 I did get in here about ten o'clock, but I saw that you
7 were all wrapped up in discussion that I didn't want to
8 interrupt and break up your thoughtfulness.

9 This is -- asbestos has become a very major
10 contaminant for ATSDR over the past five or six years. It
11 is -- it has taken on a significant amount of the work
12 lives of many individuals in this room at ATSDR,
13 particularly -- I see these three people sitting over
14 here, and I know how much they've worked on it. I see
15 some colleagues from EPA. I saw Aubrey Miller before.
16 Where's Aubrey?

17 DR. KOPPIKAR: He's coming back.

18 DR. SINKS: The biggest mistake Aubrey made was not
19 -- was going to NIOSH and not moving with me to the
20 National Center for Environmental Health in 1991 when he
21 was an EIS officer.

22 But, anyway, I did want to thank you all for coming.
23 There are -- as I get more involved with asbestos, I see
24 that there are a lot of questions. Aubrey, I just said
25 something about you. I'm sorry. I'm sorry you were out

1 of the room.

2 DR. MILLER: I was going to look for you.

3 DR. SINKS: There -- it seems to me that there are --
4 there are always unanswered questions or questions you'd
5 love to be able to answer and you can't really answer them
6 yet. And this issue of biomarkers for asbestos is one of
7 them.

8 We have other significant ones related to naturally
9 occurring asbestos that we'll be taking on and dealing
10 with, but, hopefully, your getting together and giving us
11 some good advice will be able to provide us some direction
12 on this issue, and we'll be able to use that information
13 in our upcoming health consultations. So thanks a lot for
14 being here. If there are any questions I can answer for
15 you, I'd be happy to. And I'll let you guys get on with
16 your work. Thanks.

17 DR. HOLGUIN: Welcome back. Before we open the
18 afternoon session, I'm going to -- I think Tina has some
19 -- Jill has some announcements.

20 DR. DYKEN: Well, I don't have anything really
21 formulated that I wanted to say. But I did want to expand
22 a little bit about some of the -- some of our focus on
23 this because I think there are a number of questions on,
24 you know, why we want to do these studies, what is our
25 overall goal.

1 You know, as an agency, we're asked to make general
2 public health recommendations in these areas. If people
3 know they're exposed to elevated levels of asbestos, you
4 know, they have some -- some more general decisions that
5 need to be made.

6 Basically, we can tell them they have an increased
7 risk of disease, but what level of an increased risk of
8 disease? And is that enough to justify, you know, doing
9 expensive mitigation methods to reduce the risk on a
10 community level and spending their resources on -- on air
11 monitoring or other kind of mitigation methods to -- that
12 could be used in developing schools and parks and fighting
13 other big risks and diseases in their community?

14 So I think what we're not looking for is an exact
15 answer, like which technique is going to tell you exactly,
16 you know, how much asbestos exposure, but is there a way
17 to generally see -- like, on a community level is this
18 risk really big? Is this something that really requires a
19 lot of resource? They really need to focus on -- on
20 addressing these exposures, or is this like -- or is it
21 just slightly elevated that -- but that you might never
22 even be able to see an increased degree -- rate of disease
23 in that population?

24 So -- so we don't need, like, an exact answer, but
25 are there some techniques or combination of techniques

1 that might be able to give people a better picture of the
2 risk they're facing from this -- these exposures? And I
3 don't know if that was very clear, but -- but I hope that
4 kind of lets you know that we're not looking for exact
5 answers, but just more general. Like, is there -- is the
6 science progressing enough that we might be able to give
7 people some general answers to these questions? So we can
8 make better recommendations for what people should do.

9 DR. CARBONE: May I continue on your question because
10 I was the one who kept asking you the question?

11 DR. DYKEN: Yes; yes.

12 DR. CARBONE: And thank you for addressing that. In
13 fact, it's very helpful. The -- the answer are always
14 more complicated we want. And we always like simple
15 answer, and unfortunately, they're not that simple. But
16 even if you have a technique that we all agree here that
17 is the real technique to determine asbestos exposure, then
18 we have seen that there are various methods that we can
19 use to make it more precise.

20 The risk is not the same. And that has to be clear,
21 in that the risk is not the same among exposed individuals
22 and different individuals react to different carcinogens
23 -- this is true for all carcinogenesis -- react to
24 different carcinogens differently. So while you can give
25 a general, average response to the answer, are some people

1 at higher risk because they're exposed to asbestos, and
2 the answer's general response is, sure, yes.

3 It's like going to the beach. There is an increased
4 risk to getting skin cancer, but, obviously, if you come
5 from Italy or you come from Sweden, the risk is completely
6 different. The sun is the same. And it's the same
7 situation or a very similar situation with asbestos in
8 which the number of exposure that you have had, in
9 addition to asbestos, in your genetic background are going
10 to influence your sensitivity to the disease.

11 Now, when we talk about the disease, I suppose that
12 we are talking mainly about malignant mesothelioma. And
13 -- because that's the cancer that is mostly associated
14 with asbestos exposure. The risk is always going to be
15 relatively minor except for a few places where there is an
16 epidemic of mesothelioma like the one that I'm studying in
17 Cappadocia. But besides that, the risk is always going to
18 be minor because the incidence of mesothelioma, even among
19 asbestos-exposed individual, is, fortunately, quite low.

20 So when you talk about the large population, the
21 incidence is not going to be such that you are going to
22 see an epidemic of mesothelioma unless you have a
23 particular situation in which you have an epidemic of
24 mesothelioma. What should be discussed, at some point
25 somewhere, is what type of resources are available out

1 there to see what we can do among people that are exposed,
2 to see if we can come up with novel ideas and new
3 therapies to prevent the development of the disease
4 because then simple analysis or finding, yes, there is
5 asbestos here, then you deal with these people who come to
6 you and say, "Now what? And now what?"

7 So in fact, certainly, it's important to find out if
8 the population is exposed, but I think we need also to
9 discuss if there is something or what could we do in order
10 to come up with novel preventive or therapeutic approaches
11 or screening approaches that I see that is part of the
12 discussion. So that we can be proactive, not just
13 reactive to the fact, "Yes. You have been exposed, and
14 now what?"

15 DR. DYKEN: Thank you.

16 DR. ABRAHAM: It probably is too much to ask, but
17 would anybody from ATSDR or anybody else care to say what,
18 pray tell, is an acceptable risk for an individual or a
19 community?

20 DR. DYKEN: We're all struggling with that question,
21 I think.

22 DR. ABRAHAM: To me, it's one thing; but to you, it
23 might be something else.

24 DR. KOPPIKAR: [Off microphone]

25 THE COURT REPORTER: I need you to go to a

1 microphone.

2 DR. DYKEN: Well, I think what Aparna is saying is
3 that basically ten to the minus six is an acceptable level
4 according to EPA. And they have ranges, of course, at
5 SUPERFUND that range up to ten to the minus four --

6 DR. KOPPIKAR: I think --

7 THE COURT REPORTER: Microphone.

8 DR. DYKEN: But, you know, that's a different
9 question, I think, you know, numerical risk versus overall
10 community risk.

11 MR. DEN: If you want to use an example, use the
12 example for cleaning --

13 DR. DYKEN: Would you identify yourself?

14 MR. DEN: Arnold Den, EPA, San Francisco. I think
15 the example would be the cleaning up of the apartments
16 from the World Trade Center. And that was a ten to minus
17 four risk. And I think, if you look at Libby, it's around
18 a ten to a minus four risk. And other sites that EPA is
19 working on, it seems to be around ten to a minus four.

20 DR. ABRAHAM: So that would be a hundredfold increase
21 in the risk of mesothelioma compared to the one in a
22 million in the background?

23 MR. DEN: Yeah; yeah.

24 DR. KOPPIKAR: Right.

25 DR. ABRAHAM: So a hundredfold increase in risk is --

1 we're going to tell people is acceptable?

2 MR. DEN: Generally, because of the analytical
3 sensitivity and some background issues, we really can't go
4 beyond ten a minus four, maybe ten a minus five. But,
5 generally, three zeros and a nine PCME fibers per cc is
6 what they cleaned up the apartments.

7 DR. ABRAHAM: So that points to the methodological
8 overlay of any risk assessment like the default counting
9 mechanism for fibers based on the available technology
10 that was practical.

11 MR. DEN: Right; yeah. Just chrysotile background
12 will be ten to a minus five. You put a monitor by a stop
13 sign from that.

14 DR. ABRAHAM: All right. So that's really
15 interesting to think about is a hundredfold increase in
16 risk being acceptable.

17 DR. KOPPIKAR: One clarification here is -- I'm
18 Aparna Koppikar.

19 DR. DYKEN: Aparna, we're not really -- but move on
20 though. We're not really --

21 DR. KOPPIKAR: Yeah. Now, since you asked about the
22 acceptable risk is ten to the minus six as far as risk
23 assessment that ORD does, but what Arnold is talking about
24 is when you start talking about cleaning and this and
25 that. You cannot wait 'til ten to the minus six. And you

1 may not be able to get to that level. And at the ten to
2 the minus four, you start doing cleaning.

3 DR. FORRESTER: I'm Tina Forrester from ATSDR. When
4 we identify exposures like in El Dorado County, our goal
5 as a public health measure is to mitigate the exposures.
6 So what our hope would be to stop things like El Dorado
7 development from occurring when there are veins and issues
8 that we can work with developers to prevent them exposing
9 the veins and people ever being exposed. So we need to go
10 back to some of the first steps of pure public health:
11 stop exposure.

12 DR. CARBONE: Don't you think that we also should try
13 to understand how is it that asbestos is causing
14 mesothelioma and see if we can intervene in the process
15 before the disease comes? Because people who have been
16 exposed have been exposed. We're not going to take --
17 extricate the asbestos out of their lungs, so we can
18 reduce the further exposure. But still we should see if
19 we can do something for the exposures that already has
20 taken place.

21 DR. FORRESTER: That is true, but as good public
22 health practice and the easiest thing that we can do --
23 that any of us can do is to make sure the exposures don't
24 occur in the environment, and that's not taking away from
25 people that are already exposed. It's just there's a lot

1 of naturally occurring asbestos across the United States,
2 and it's an issue that needs to be addressed.

3 DR. ABRAHAM: I won't belabor this now, but that --
4 there's a conflict between that approach, which is -- I
5 agree with you. The primary preventive goal versus
6 telling people what their risk is -- because you say they
7 want us to tell them what their risk is, and yet you say
8 we don't really need to tell them what their risk is -- we
9 just want to prevent the exposure -- which would be my
10 approach. Or you want to prevent the exposure without
11 worrying about what the risk is as long as you know
12 there's some exposure going on; right?

13 DR. FORRESTER: No. We should tell them the estimate
14 of risks once they've been exposed. But the overall goal
15 in public health would be prevention in the first place.
16 Now that we know -- if we know we have a situation where
17 exposure can result in disease, we should put prevention
18 actions in effect to predict -- to protect the broader
19 population.

20 DR. ABRAHAM: I don't have any argument with that.

21 DR. FORRESTER: Okay.

22 DR. HOLGUIN: Well, both approaches are not mutually
23 exclusive. It's just a matter of where do you allocate
24 resources initially. Okay. Can we get on the with the
25 program?

1 There's been some changes in the schedule. I'm told
2 that what we will do -- we have a break around 3:15 or so,
3 and then we'll do -- talk about fiber analysis techniques
4 in tissue, BAL fluid, or sputum in sentinel animals,
5 either household pets or other resident-animal species;
6 then counting asbestos bodies in human tissue, BAL fluid,
7 or sputum.

8 Now, for tomorrow, we had scheduled at 9:30 to talk
9 about blood mesothelin and osteopontin levels and all
10 clinical tests such as spirometry or CT scans. I think
11 ATSDR would like to maybe talk about both of those -- the
12 fibers and the other clinical tests -- this afternoon, and
13 then tomorrow we'll have more time for questions.

14 So I guess we could start by discussing fiber
15 analysis techniques in sentinel animals. I know there's
16 been some -- I'm sort of aware on the briefing there was
17 some animal samples in El Dorado County. But again, this
18 is not just relative to California, but -- anybody would
19 like to talk about...

20 DR. ROGGLI: Well, I think, based on the premeeting
21 comments, it seems like the panel members are pretty much
22 in agreement that sentinel animal studies can tell you
23 that exposure has occurred in an area. They won't tell
24 you anything, based on our current knowledge, about levels
25 or whether there is human exposure or what the risk might

1 be for the human exposure.

2 And since there have already been some sentinel
3 studies, animal studies, that said, yes, there's exposure
4 here, I'm not -- I'm not convinced we need to do any --
5 spend any more money in that direction.

6 DR. HOLGUIN: Anybody?

7 DR. CASTRANOVA: Also, if we're trying to use the
8 sentinel animals to tell the exposure in a population,
9 which I think was the charge, and from what I heard from
10 the EPA people in the audience, the exposures go up during
11 certain activities: playing on a ball field, riding an
12 all-terrain vehicle around, and stuff like that. Well, a
13 sentinel animal won't be doing that necessarily and won't
14 be in that location necessarily. And so my argument would
15 be it would be an underestimate of possible exposure.

16 DR. ROGGLI: Or it might even be an overestimate. If
17 you've got a sentinel animal who decides to go over to an
18 outcropping of tremolite and snoop around a bit
19 (laughter). You're not going to find humans doing that.

20 DR. HOLGUIN: I guess a thing -- the exposure history
21 of the animal, compare it.

22 DR. ROGGLI: Yeah; exactly.

23 DR. ABRAHAM: Right. Well, I think the study that we
24 did in the small number of animals did have the sort of
25 residential history of the animals, and there was a

1 correlation between the residential history of time spent
2 in the area and the amount of tremolite in their lungs. I
3 should say tremolite actinolite to make the mineralogists
4 happier.

5 But although it doesn't prove human exposure, it
6 certainly parallels other situations where animals, such
7 as in Corsica, have had exposure and the humans living in
8 the same area have also had exposure in mesotheliomas.

9 So I think having animals with that exposure is
10 pretty strong evidence that people living in the same area
11 have had exposure, although it remains to be seen by
12 tissue analysis of the humans, I suppose.

13 DR. ROGGLI: Right. So now you have that early
14 information. So it's time to move on and look and see
15 what the people have.

16 DR. ABRAHAM: Certainly, there's fibers in the air
17 that some beings are inhaling and retaining in their
18 lungs.

19 DR. HOLGUIN: I guess one particular question is:
20 What do you want? People to sacrifice their own animal?
21 Or do you wait for them to die? Or --

22 DR. GUNTER: That was --

23 DR. HOLGUIN: -- perform BAL on them?

24 DR. GUNTER: This is an area that's of interest to
25 me, like so many of these, for a long time, and I've

1 thought -- I haven't thought about the house pets as much
2 as -- we have a slaughterhouse on campus, and they
3 slaughter sheep. So there's almost an infinite amount of
4 sheep lungs that are available at the slaughterhouse.

5 The -- at the same time, in hunting -- I mean, you
6 live in the West. Anytime that you kill elk, they have to
7 go to cleaning stations. So if you wanted air samples in
8 the West, you're not looking at house pets. If you want
9 to get outdoor exposure background levels, the animals
10 killed in hunting would be a great way to do that. So
11 it's not -- and I read this. It all seemed to come back
12 to the house-pet issue. But in the western U.S., there
13 are many other sources of animals.

14 DR. ABRAHAM: Yeah. The house pets were used because
15 they were animals that belonged to people who lived in the
16 community and were concerned about the exposures. And the
17 animals were being euthanized, not for the study. But the
18 study was a byproduct of their being euthanized anyway.
19 But the study in Corsica did use goats that were roaming,
20 and they were harvested that way.

21 DR. CASTRANOVA: The other comment would be, as far
22 as BAL fluid in sputum, if you were going to have
23 difficulty getting human volunteers, you're never going to
24 get a human donor to volunteer their pet to do that.

25 DR. HILLERDAL: Well, I don't know that animals can

1 spit.

2 DR. CASTRANOVA: No, they can't.

3 DR. WEISSMAN: You can't get informed consent.

4 DR. HOLGUIN: They cannot give informed consent.

5 DR. WEISSMAN: And I would echo what other -- you
6 know, what Victor said in terms of not having a really
7 good handle quantitatively on what the relationship would
8 be between exposure of a particular species of animal, you
9 know, and human exposure.

10 So for instance, you know, Jerry, in your work, you
11 know, cats seem not to have much fibers, if I remember
12 correctly, and dogs did. And, you know, then we have the,
13 you know, work from Europe, from Sicily and from Corsica,
14 with goats and sheep, and we just have no idea what the
15 right species is to use and what the relationship is. So
16 I think that we get the categorical yes/no. There is
17 exposure, but in terms of quantitation, you know, for
18 humans it's -- we still need more work.

19 DR. ABRAHAM: Just one comment as far as the
20 categorical. The cat category did have evidence of
21 exposure in the cat that was mostly an indoor cat and none
22 in the cat that didn't live in the region. So it's hard
23 to know whether it's a species thing or just that it's
24 part of a correlation between the amount of exposure they
25 had. You could argue either way. It fits with the

1 history of exposure.

2 DR. HILLERDAL: But I don't think you can ever do
3 with some kind of exposure study in animals, and I think
4 the difference -- you talk about species difference. I
5 think the difference in different dog races. I mean, a
6 big dog, all the time digging in the forest, would not be
7 as exposed as an dachshund that likes digging around in
8 your back yard or whatever.

9 And I think -- I don't think that any more animal
10 studies, at this moment, would do any good because we have
11 studies showing that these pets have been exposed. And I
12 think the amount of elk and sheep that walk around in the
13 El Dorado Hills are not very great, are they?

14 DR. ABRAHAM: I don't know. We also have the -- the
15 exposure studies that have been done by the EPA. So
16 there's plenty of evidence in the fibers in the air.

17 DR. HILLERDAL: So I think further investigation of
18 pet animals will not add much actually. Squirrels, maybe.
19 They get around a little bit.

20 DR. HOLGUIN: Is there anyone in the panel that feels
21 that animal studies need to be done? I mean, I think I
22 sense that everybody's on the same level that no more
23 further animal studies are needed.

24 DR. GUNTER: What was interesting is to listen to
25 that the comments about dogs behaving differently. But in

1 many ways, humans are like dogs because the human exposure
2 is very different.

3 DR. HOLGUIN: Clarify.

4 DR. GUNTER: But no; because humans are going to be
5 -- if you happen to -- if you happen to work on a road
6 grader in El Dorado County, your exposures are going to be
7 much different than if you're an attorney. So again, the
8 human exposures are going to be just as different as the
9 animal exposures. And that can be something that would be
10 difficult with some of the earlier discussions this
11 morning on looking at human tissue.

12 DR. CARBONE: So there can be particular
13 circumstances in which you may want to do an animal study.
14 One cannot be, categorically, I think, saying that they
15 can't be done. But, in general, probably they don't need
16 to be done.

17 But say that you are in a situation like that you
18 live in Arizona, and you want, for example, to find out if
19 you want -- go and run in the desert if you are exposed to
20 erionite. I suppose you could kill a few animals and see
21 whether they have erionite in their lung because I don't
22 see any other way you could figure it out.

23 But short of situation like that, that are pretty
24 unique and unusual, I think that probably is difficult to
25 get any information from animals because, as he said,

1 animals don't play soccer or maybe they can dig the soccer
2 field over night and breathe all the erionite or whatever
3 is there.

4 DR. HILLERDAL: Which animal would you suggest to
5 kill in the Arizona desert?

6 DR. CARBONE: I would suggest not to kill any animal
7 because I hate to kill animals. Having said that, I
8 suppose that the only way that you could get some type of
9 information, if there is, in fact, erionite in the area
10 around there because there is plenty of erionite in the
11 soil for sure is some older horse who dies and who has
12 lived there for a while and see whether he has erionite in
13 his lung.

14 DR. ABRAHAM: Or road-kill. There's enough animals
15 killed on the highways.

16 DR. ROGGLI: I think one of the issues is if we had
17 infinite resources, what you'd probably want to do is do
18 all the studies that have been suggested and just see what
19 comes out. But the reality is there are not infinite
20 resources and taxpayers are not happy to shell out more
21 money than they need to, to learn useful information.

22 DR. HOLGUIN: Sure.

23 DR. ROGGLI: So you have to prioritize which are the
24 things that are going to give you the information that
25 you're really looking into. And at this point, I think

1 animal studies would be low on that priority list.

2 DR. HOLGUIN: Anyone else care to comment?

3 DR. ABRAHAM: I'd just say that in a community where
4 there hasn't been any evidence for exposure, that might be
5 a place where animals could be used as an initial
6 screening if they're available. And I wouldn't, you know
7 -- again, I wouldn't suggest sacrificing pets or something
8 like that. But if the animals are available anyway, their
9 tissue should be archived and examined when they would add
10 information to what we need to know.

11 DR. HOLGUIN: More on animals?

12 DR. ABRAHAM: No.

13 DR. HOLGUIN: Okay.

14 DR. CARBONE: The animals are safe (laughter).

15 DR. HOLGUIN: All right.

16 DR. CARBONE: We saved the animals.

17 DR. HOLGUIN: Let's talk about counting asbestos
18 bodies in human tissue, BAL fluid, or sputum. Open for
19 discussion. Again, the main topics are advantage and
20 disadvantage of the technique and how they will represent
21 the background exposure in a community.

22 DR. ROGGLI: Well, I thought Dr. Dodson's analysis
23 was really excellent. He pointed out that -- well, as
24 several of us have pointed out, the advantages of asbestos
25 bodies is that they're easy to identify. You can use

1 regular light microscopy. You don't have to use any
2 special techniques. The reproducibility from laboratory
3 to laboratory is probably better for looking for asbestos
4 bodies than any other parameter of asbestos exposure in
5 human lung tissue samples.

6 But the disadvantages are that it's only telling you
7 a tip-of-the-iceberg story, and there's not a perfect
8 correlation between asbestos body counts and other fiber
9 types. Even for amphibole fibers, for which asbestos
10 bodies are a good marker, there's a wide variation in the
11 percentage of the longer amphibole fibers that are coated
12 from one individual to another.

13 And you get away from amphiboles and look at
14 chrysotile, then chrysotile is just not a very good
15 asbestos body former, accounting for a couple of percent
16 of the asbestos bodies in our laboratory, and I think
17 others have found similar to that. They're a low
18 percentage.

19 So asbestos bodies are cheap and easy to do compared
20 to EM, but they should not be done alone without looking
21 at the electron microscopy as well. One advantage would
22 be to do both. If you're looking at human tissue samples,
23 it would be to look at both asbestos bodies or ferruginous
24 bodies and the fibers by EM. And the reason for looking
25 at both is because there's more data in the literature on

1 what the normal ranges are for asbestos bodies in sputum,
2 in BAL fluid, in lung tissue samples than there is for
3 uncoated fiber counts.

4 So it's -- the data are better grounded for asbestos
5 bodies than they are for other parameters. But I wouldn't
6 recommend looking at them in vacuo without also looking at
7 the fibers.

8 DR. HOLGUIN: If it's less specific but more
9 sensitive, could it be used -- could it be a better
10 screening tool?

11 DR. ROGGLI: Well, in sputum, it's going to be a
12 worse screening tool because its sensitivity is so poor.

13 DR. HOLGUIN: Okay; okay.

14 DR. ROGGLI: But in BAL fluid, it's -- it might be a
15 pretty good screening -- screening because it's cheap.
16 But again, if you're going to go -- if you're going to go
17 to the trouble to collect BAL fluid --

18 DR. HOLGUIN: You might as well do the whole thing.

19 DR. ROGGLI: There's no reason to look just at
20 ferruginous bodies and not also look at the fibers by EM.

21 DR. HOLGUIN: So sputum doesn't really offer any
22 advantage over the previous?

23 DR. ROGGLI: I don't think so.

24 DR. HOLGUIN: Dr. Dodson.

25 DR. DODSON: No. I agree with what Dr. Roggli said

1 that ferruginous bodies is an indicator of a portion of
2 the population of longer fibers inhaled in the lung for
3 those people that coat those longer fibers, and that
4 excludes chrysotile for several reasons. But there are
5 rare instances where there are numbers of chrysotile-cored
6 ferruginous bodies. But most of the time it is exactly on
7 the -- on the numbers that Dr. Roggli said of 1 percent or
8 less of all the total you see in a given study that are on
9 chrysotile.

10 So it tells you nothing about it. It tells you that
11 there was potentially, when you see them, a exposure -- an
12 exposure to longer amphiboles, unless, of course, the
13 person is not a very good coater of those longer
14 amphiboles. It tells you nothing about the population of
15 uncoated fibers.

16 DR. ROGGLI: One other point that I'd make about
17 asbestos bodies is that Dr. Dodson says they form on
18 fibers 8 to 10 microns in length, whichever you like. And
19 what I actually like is 15 to 20 microns in length because
20 I don't think I've ever seen an intact entire asbestos
21 body coated on both ends that was less than about 15 or 20
22 microns in length.

23 You can get halves of asbestos bodies that are 8 to
24 10 microns in length where you've cut it in half by your
25 procedure. But -- so the point of this is, is that in a

1 number of cases we've analyzed, we found increased levels
2 of tremolite fibers in the lung tissues but the asbestos
3 fibers were within normal range -- asbestos bodies were in
4 the normal range.

5 And the problem there is that the tremolite fibers
6 that we have seen in most cases -- not all but in the vast
7 majority -- are less than 20 microns in length. And so
8 they're less apt to be coated to form asbestos bodies;
9 whereas, if you've got a population of amosite and
10 crocidolite fibers, you're almost always going to have a
11 significant proportion that are going to be more than 20
12 microns in length. So you'll get lots of asbestos bodies.

13 So asbestos bodies may really underestimate your
14 exposure to a significant number of tremolite fibers that
15 are 5 microns or greater in length.

16 DR. HOLGUIN: Jill.

17 DR. DYKEN: I'd like to ask a question related to
18 asbestos bodies. Does [sic] the asbestos body itself
19 thought to cause disease, or is it a symptom of exposure
20 that might be leading to disease? Or if somebody could
21 expand on that, please.

22 DR. ROGGLI: Well, basically, the asbestos body's a
23 marker of exposure and not of disease. And there have
24 been a number of studies that have looked in different
25 ways at the asbestos body themselves and found that they

1 are less toxic than the uncoated asbestos fibers. So one
2 hypothesis has been that etiologically that is a way that
3 the body has of detoxifying asbestos fibers is by coating
4 them and creating them into an asbestos body.

5 And one of my friend and colleagues, Dr. Andy Ghio,
6 wasn't convinced at all of this argument because he is,
7 like Vincent, very much interested in iron metabolism and
8 iron -- or free radicals that are generated from the iron-
9 redox cycling. And so he thought that you might actually
10 increase toxicity by coating it with iron. But when we
11 did the studies, he found out that wasn't true.

12 And what happens with the asbestos body, you
13 coordinate a form of iron around the surface that actually
14 reduces the amount of redoxable iron. And so it's
15 actually less toxic, even in terms of our metabolism, than
16 the uncoated fibers.

17 DR. CASTRANOVA: Yeah. I agree with that. And so
18 that if you're looking only at asbestos bodies, you're
19 looking at a subfraction of all the fibers, but a
20 subfraction of all the fibers that is less toxic than the
21 rest. So it may actually mislead you a little bit.

22 DR. CARBONE: Excuse me. But the mean -- the issue
23 is what we mean for toxicity because toxicity and cancer
24 are two things that are at the opposite. If something is
25 toxic enough, it's going to kill the cell and you get no

1 cancer. So in fact, by reducing toxicity, now you can
2 induce an agent that is not a carcinogen to become a
3 carcinogen.

4 So it would not take the reduction in toxicity in any
5 way as a measure that the substance is less oncogenic. It
6 doesn't mean that it's not, but just you cannot make the
7 equation.

8 DR. CASTRANOVA: Well, let me expand it. Instead of
9 toxicity, less biological activity.

10 DR. CARBONE: Excuse me. How do you measure it?

11 DR. CASTRANOVA: Response to the cells, you know,
12 growth factors, cytokine response.

13 DR. CARBONE: None of that has anything to do with
14 predicting whether that is going to cause mesothelioma.
15 We do not have any test that you can point to me that has
16 been published in the literature in which you can say that
17 asbestos caused cancer because of. So we do not have any
18 way to predict exactly what type of reactions would more
19 likely cause cancer versus those that will not.

20 So since you cannot measure it, you cannot establish
21 whether the toxicity, whatever is reduced, is something
22 that is related to the ultimate outcome that is cancer
23 unless you were to be able to say, for example, that by
24 coating the asbestos fibers, you are reducing the
25 inflammation, which, obviously, the chronic inflammation

1 process that asbestos causes is related to cancer. So now
2 you have a general phenomenon there.

3 And you say that this asbestos fiber will not elicit
4 a chronic inflammatory response. And obviously, the
5 chronic inflammatory response by producing a number of
6 cytokines promotes the growth of malignant cells and of
7 tumors. Then that probably would be a good argument. But
8 most arguments that have been are based on these toxicity
9 things that you're talking about in which they are
10 measuring things that has not been shown to have anything
11 to do with cancer in the first place.

12 DR. CASTRANOVA: I agree. And I think I was
13 referring to the second: the production of growth factors,
14 the production of oxidant stress in the cell that would
15 change -- that would change growth regulation of the cell.

16 DR. HILLERDAL: It's such a small portion anyway of
17 the fibers that get counted that become asbestos markers.
18 That's probably completely marginal. Whether they are
19 less -- more or less toxic, I don't think that matters at
20 all. But what matters is that some people -- it's -- as
21 we said, it's a marker, but we have also to be aware of
22 that some people are good producers of asbestos bodies.
23 Still that doesn't mean they're less than 1 percent. I
24 think it's much less than 1 percent that gets coated with
25 the best coaters. Others don't coat anything, so -- but I

1 think toxicity has nothing to do with this. It's just a
2 marker.

3 DR. DODSON: The -- I'm aware of Andy Ghio's work,
4 and I think it's some good work. We isolated some
5 ferruginous bodies in a different way and Ann Hosein
6 seemed to find that there was a mobilized iron involvement
7 with the ferritin-type reaction.

8 But just some very fundamental comments, the
9 ferruginous body may represent a small portion in those
10 people that coat. It's also the type material that's much
11 harder to get eliminated from the lung and stays there.
12 It is a foreign structure. It does continue to induce an
13 inflammatory reaction. And if it cannot be removed, then
14 also it has macrophages that are not going to outlive the
15 stimulus. And so there is a local spin-down effect of a
16 release of materials that's not supposed to be in the
17 lung, the surface of the lung, from them.

18 And they are -- they are, when found in people who've
19 produced them, they are -- and it is true a percent of the
20 fiber population. But there are also are a percent that,
21 because of their simple size and dimensions, are much
22 harder to ever relocate.

23 The smaller ferruginous bodies that -- back to Dr.
24 Roggli's comment -- generally are those we find with the
25 electron microscope, the shorter ones. And ferruginous

1 bodies, in part, tend to also not only be determinant, as
2 far as their formation on length, but also their internal
3 composition of diameter and multifibrillar components
4 instead of single fibrillar units.

5 DR. HOLGUIN: John, were you going to comment
6 something? No? Kelly, were you going to comment
7 something?

8 UNIDENTIFIED SPEAKER: No.

9 DR. HOLGUIN: Okay. Have the presence been
10 associated with -- I mean, people who you fairly exclude
11 other lung diseases if you see these bodies in BAL, have
12 there been any reports associating them with, you know,
13 BAL lymphocytosis or, you know, changes in any clustering
14 or things like that? Other kind? No?

15 Any more comments on asbestos bodies?

16 (No audible response)

17 DR. HOLGUIN: No one? Should we move on to the other
18 clinical tests? I think we might be hitting the hyping of
19 the postperennial state here (laughter).

20 We have a break coming soon. Let's start with blood
21 mesothelin or osteopontin levels or other blood tests in
22 the same fashion that we discussed the other techniques.
23 You want to open it?

24 DR. CARBONE: Sure. I was quite skeptical about this
25 stuff, and usually, you are very skeptical when you've not

1 done something. So you see something -- you can't be to
2 look at it and do it, promise not to. So anyway,
3 actually, I was part of the study that Harvey published on
4 osteopontin. But I was just the pathologist who made the
5 diagnosis on the mesothelioma and that I didn't do much.

6 So I have -- I am studying this population of high
7 incidence of mesothelioma in Cappadocia, which is an
8 incredible place. Okay. 50 percent of people die of
9 malignant mesothelioma in that place, and that includes
10 traffic accidents. It includes everything. It's
11 unbelievable. In the -- we are determined that the reason
12 is mostly caused by erionite, that it is this fiber, that,
13 in a way, I understand resembles crocidolite. And then
14 there is a very different in risk among different
15 families, and now we are trying to isolate the gene that
16 predisposes some families to this erionite.

17 Having given this background, the situation there is
18 a tragedy because people just wait to die. And so they do
19 not tend to do anything because they're quite depressed.
20 So the issue is what can you do for these people. And one
21 of the things that we thought we could do was to try to
22 see if we can detect mesothelioma in the early stages. So
23 we run a first test on this mesothelin. And I'm just
24 coming back from Cappadocia looking at this -- results of
25 this test, which were really remarkable -- I mean, really

1 remarkable -- which made me change my mind about the test.

2 We did detect the high levels of mesothelin in those
3 that had mesothelioma. And then, among the normal
4 population, we tested some 70 samples. Four of them had
5 bulk background levels of mesothelin, and one of them has
6 already come down with malignant mesothelioma. I have
7 organized another collection of sera for next week -- this
8 weekend actually. And then we're going to go there and do
9 the test again. If the data hold true, then the Minister
10 of Health in Turkey will provide all the economic support
11 to do radiological analysis, CAT scans, on patients who
12 have high levels of mesothelin.

13 The hope is that, in fact, we have a test that allows
14 us to detect mesothelioma earlier than other tests and
15 that is sensitive enough that you do not have a very large
16 number of people that you have to refer to radiation.
17 Based on the first test that we ran, that's exactly what
18 it looks like. It's a relatively simple test to do
19 because all you have to do is to collect sera from people,
20 which is easier than many other things. It's even easier
21 than to convince people to get their radiological exams,
22 at least in that part of the world.

23 And the issue is, however, in this patient that we
24 detect at high levels, did she have already a mesothelioma
25 that would have been detectable by radiological image or

1 not. How good is this test? How early can we detect the
2 disease? Based on Bruce Robinson's study and on Harvey's
3 studies on osteopontin, in fact, that should be the case.
4 But we need to verify that.

5 And what we have there is this unfortunate laboratory
6 of mesothelioma of human beings that allow us to verify
7 the reliability of this test in a time fashion that would
8 be impossible in any other part of the world because here
9 I would have to study 100,000 people to come down with the
10 same numbers that I have over there. So I hope that this
11 mesothelin test and possibly the osteopontin test that
12 we're going to try this time are going to prove effective
13 for early detection of mesothelioma.

14 Then, of course, the question becomes, well, now that
15 you have detect the mesothelioma, what should you do about
16 it. And the -- that's a very valid question. The only
17 hope for some effective treatment right now is to detect
18 mesothelioma in Stage 1-A or so. If you look at the data
19 of Harvey Pass, Sugarbaker, and Rusch, all of them show
20 that there is really nothing to do unless you are so lucky
21 to get the Stage 1-A mesothelioma that tend to live
22 longer. But to see a Stage 1-A mesothelioma is very, very
23 rare.

24 So the hope would be that if, in fact, the best
25 hypothesis here works out, we have a test that allows for

1 early detection of mesothelioma. Now, that test could be
2 a test that you can offer to people at risk. But, you
3 see, you can offer that test, I think, in the village of
4 Tuzkoy or Karain where these people die like flies of
5 mesothelioma. You could offer to the three or four
6 mesothelioma families that I'm studying in the United
7 States where half of the people in the family died of
8 malignant mesothelioma. I am not sure that you would want
9 to offer -- and this is just my personal opinion -- as a
10 general test to a population that has just a limited
11 increase of bulk background of detecting mesothelioma.

12 I'm not saying that you have to withhold it from
13 them, but, of course, there are advantages and
14 disadvantages of offering any type of test. There is
15 morbidity associated with the simple fact that some of
16 these people will be referred to a hospital, and so it
17 will be your decision to decide whether the risk outweighs
18 the advantage.

19 Certainly, there is a big advantage in a high-risk
20 population, exposed population, such as could be if you
21 are dealing with former shipyard workers or former
22 asbestos miners. Then I would see the advantage. If you
23 have -- it's just slighter increased risk, I don't. But
24 I've taken too much time already, so I better stop and let
25 you speak.

1 DR. ROGGLI: Well, I think I would echo a lot of
2 those comments. I think you have to put the situation
3 into some perspective, and the -- in the group of
4 asbestos-exposed workers that we've looked at, not
5 genetically related, the highest -- the highest exposed
6 group we've studied have been the insulators.

7 And according to Selikoff's work, 8 percent of the
8 insulators got mesothelioma. That means 92 percent of
9 them never got the disease. Shipyard workers, 2 to 3
10 percent get mesothelioma; 97 to 98 percent never get the
11 disease. Chrysotile miners and millers in Quebec, half a
12 percent get mesothelioma; 99-1/2 percent never get the
13 disease.

14 When you start using markers such as this, which have
15 -- do not have perfect specificity and perfect
16 sensitivity, and you start looking at populations whose
17 risk is much less than the chrysotile miners and millers
18 from Quebec, you're going to do nothing but ask for
19 trouble because you're going to get killed by your false
20 positives.

21 And it's the same problem as you have with the
22 disaster which will occur in this country if we start
23 doing routine CT screening of cigarette smokers for lung
24 cancer because you're trying to catch a disease which
25 comes and goes pretty quick on a background of stable

1 nodules that are present there all the time.

2 DR. HOLGUIN: It happens though.

3 DR. ROGGLI: Well, let me answer -- point out a
4 couple of other things. The problem with even discovering
5 mesothelioma in its early stage at 1-A lesions, which are
6 uncommon, and you've got some invasion by the time you're
7 there or you don't know it's mesothelioma. So you do have
8 some invasion of 1-A diseases. You don't know at this
9 point in time from what information we have that the
10 increased survival you have is not all due to lead-time
11 bias, simply discovering disease in earlier stages.

12 DR. HOLGUIN: True.

13 DR. ROGGLI: And even in using these procedures in
14 high-risk groups, we don't know what the results of
15 mesothelin and osteopontin would be in people who have
16 atypical mesothelial hyperplasia which would never go onto
17 develop mesothelioma versus those that have mesothelioma
18 in situ, whatever that disease is; that is, we have no way
19 of predicting which ones would become progressive.

20 So are we going to offer extrapleural pneumonectomies
21 for people who have atypical mesothelial hyperplasia that
22 you detect by a slightly elevated osteopontin-mesothelin
23 test? I think it's a can of worms that you can't get
24 into. At this point, there's just too many unanswered
25 questions, and it's not ready for prime time.

1 DR. HOLGUIN: Thank you.

2 DR. CARBONE: May I?

3 DR. ROGGLI: Sure.

4 DR. CARBONE: Thank you. Victor, I agree with you
5 that it's not ready for prime time on a larger population
6 such as the United States of America by offering a test
7 for everybody to use it. And nobody, except one person,
8 has suggested that you do an extrapleural pneumonectomy on
9 somebody who has high levels mesothelin or osteopontin.

10 The fact that I was trying to explain is that we have
11 a test that seems to be very promising and that justifies
12 doing more work on this test to determine the sensitivity
13 and the specificity of the test so that we will know --
14 because today we do not know the answer of the many
15 questions that you have raised.

16 But because the test appears very promising, I think
17 that it's important, especially in populations such as the
18 one that I described, which I think is unique, where if
19 you find other mesothelioma families that we use this test
20 to determine the specificity and sensitivity because, in
21 fact, we could have a test that is going to be useful for
22 certain group of people.

23 The next -- the other issue becomes what can we do,
24 if anything, among people who are exposed to -- who are --
25 who have high levels of mesothelin who, therefore, could

1 be at higher risks of developing mesothelioma to prevent
2 the mesothelioma starts. We have heard before that the
3 issue here is to prevent mesothelioma by removing asbestos
4 or by reducing exposure, which is a good idea. Another
5 way to do it is to see if we can act in the chain of the
6 events that leads to the development of mesothelioma to
7 block that series of event to take place.

8 So if, in fact, we have a test that allows us to
9 identify people at higher risk, then therapies over those
10 individuals may be more effective than therapies once you
11 really have an invasive disease where the therapy cannot
12 work. And for example -- and again, nothing of this is
13 prime time, but it's something that you need to work on.
14 It's becoming pretty clear that the inflammatory process
15 that is evoked by asbestos indirectly plays an important
16 role in the pathogenesis of mesothelioma.

17 We have a paper that is coming out in *PNIS* defining
18 the molecular mechanism by which this happens. And the
19 inflammatory response has as a general role in causing
20 cancer in different places. And for example, we are now
21 testing COX-2 inhibitors for colon cancer, for lung
22 cancer.

23 These are not therapies that are invasive therapies.
24 These are not therapies that are going to make anybody
25 sick. However, these are therapies that could be tested

1 and tried on individuals who we believe are at higher risk
2 of developing mesothelioma to see if, in fact, we can
3 interfere with the process in time before the disease
4 develops. And that's what I think we should also consider
5 in this meeting: what therapy things that we have
6 available today to interfere in the course of the disease
7 for people who have been already exposed because there is
8 always going to be a group of people who are exposed. You
9 cannot prevent completely exposure to everybody.

10 There are other drugs that specifically inactivate
11 some specific pathways that are out there. For example,
12 drugs to block TNF-alfa, drugs that block NFkB. Some of
13 these drugs can be tested to -- in population at risk to
14 see whether, in fact, we can reduce this risk. It doesn't
15 mean that works, but the only way to find out something
16 that works is to try.

17 DR. HILLERDAL: All this is some -- this is very good
18 when we have it. But today we don't have that, and today
19 it would be -- I think it would be a serious mistake to
20 take these tests on hundreds of people with, as you say,
21 low risk of mesothelioma.

22 And if you look at Robinson's paper on Western
23 Australia, he went back and investigated serum and he
24 found out that many years before they had clinical
25 mesothelioma they had high levels.

1 So what do you if -- I mean, you have a high level and you
2 have no -- you make a CT scan. What you would have to do
3 is, I suppose, a CT scan every six months, and if that
4 takes many years until you really develop mesothelioma, it
5 gives you some trouble.

6 And also, you make the CT scan and you will find some
7 small changes, some small pleural changes. It's a little
8 thicker there or something like that. Then what do you
9 do? And the other thing, as you point out, we don't
10 really know that we do these patients any good by finding
11 early mesothelioma.

12 Of course, Dr. Sugarbaker and others would say we
13 operate them and we -- and that will prolong their life,
14 but this has never been tested in any randomized study.
15 And a pleural pneumonectomy -- and especially these new
16 things where you connect that. You give them cytostatics.
17 You take out the hoola and everything around it, and then
18 you give radiation afterwards, and that's very -- that's a
19 very heavy treatment, which has both morbidity and
20 mortality.

21 And you don't really know if you're doing them any
22 good. You might prolong their lives, and I think you do
23 actually. I think you actually do, but most of them will
24 come down in their disease anyway later on. And some of
25 them will die from treatment, and until you know -- until

1 you know that you can really do something good by
2 discovering a disease early, you should not screen for it,
3 I think.

4 DR. CARBONE: So you agree that we should screen
5 known populations at high risk to verify whether the test
6 is specific because otherwise how am I going to determine
7 if it's specific?

8 DR. HILLERDAL: No, no, no. Yes. That, you should
9 do, but only in a very strict scientific investigation.
10 The patient should be fully informed about this. And you
11 should have some very interesting studies and hypotheses
12 which you could test on these patients because, otherwise,
13 I think you will do more harm than good for time.

14 DR. CARBONE: You do more harm than good because of
15 what? I mean, say that you take a population of
16 insulators. Okay.

17 DR. HILLERDAL: But if you were exposed to
18 asbestos --

19 DR. CARBONE: Yes.

20 DR. HILLERDAL: -- and you go and take this test and
21 say, "Okay. Yes. You have four times higher mesothelin
22 level. You might have mesothelioma." Then what? What do
23 you do? Well, you do a CT scan; right? You do it, and
24 you see nothing. And then you say, "Okay. Come back in
25 six months. We'll make another CT scan." You come back

1 next six months, and you make another CT scan. You keep
2 it up for many years.

3 Now that you get very -- you get some radiation.
4 Maybe that's not very dangerous. I don't know. But it is
5 quite a lot of radiation anyway. And what about the
6 psychological burden for these patients, especially in
7 this group? He says, "Well, I have mesothelioma. They
8 can't find it." And he goes -- I'm not sure that you're
9 doing that patient any good. I think you're doing him
10 some harm. Of course, these are very difficult ethical
11 questions.

12 DR. CARBONE: It is a difficult ethical question
13 because, as you understand, the only way that you can do
14 progress and understand how specific and sensitive this
15 is, is of doing it. If we do what you suggest, that is,
16 do nothing because we don't have yet the answer, six years
17 from now or ten years from now, we are exactly where we
18 are right now.

19 DR. HILLERDAL: I said you shouldn't do nothing. I
20 said you should not do that in a grand scale without
21 really putting up research program. You should do
22 something. For instance, it's possible. I mean, what
23 I've been thinking of is that this guy who has this high
24 mesothelin levels, what would the next thing be? Well, I
25 would try to take -- to make minor investigation of his

1 thoracic -- you know, you could put in some catheter into
2 his pleura and see -- and see if you because of the
3 mesothelioma. Certainly, it must come from there.

4 So you can see. And if you see that from his dry
5 thorax there comes -- there comes a high level of left
6 side you don't have it, then you could go on and you make
7 a thoracoscopy or some -- even open him up and see if you
8 can find it, something like that, some kind of
9 investigation.

10 You must know what to do with it. And you have to do
11 that in some kind of research program. That's the
12 important point, you know, not at this level. Unless you
13 know exactly if that patient is positive, then we do this.
14 We take him in there, and we make that...

15 DR. CARBONE: At this point, the role of this testing
16 is to verify how sensitive and specific it is, to address
17 the questions that Victor indicated before because, at the
18 moment, for example, we do not know whether mesothelial
19 hyperplasia is going to bring it up and how much it's
20 going to bring it up. So you need to be able to address
21 these questions first. And that's why I was suggesting
22 not to do a thoracoscopy. I was not suggesting to do an
23 extrapleural pneumonectomy. I was suggesting to give them
24 ibuprofen.

25 DR. HILLERDAL: That's been suggested.

1 DR. CARBONE: Okay. And I was suggesting -- I know.
2 Just one person said that, and we know who he is. But
3 that's why I was suggesting to give them ibuprofen because
4 with ibuprofen I'm not going to make the person sick, and
5 at the same time, I'm making the person think that I'm
6 doing something about it because I have to deal with the
7 problem that you told me that, in fact, that he's going to
8 be worried about it and because there is a chance that
9 ibuprofen can also help him. And certainly, there is a
10 logic behind it, where there is not much logic in the lung
11 cancer studies that are done right now.

12 DR. HILLERDAL: Then you should do that in a
13 randomized study and see if they come out with
14 mesotheliomas.

15 DR. CARBONE: Exactly. That's what needs to be done.

16 DR. HILLERDAL: Yes; yes.

17 DR. CARBONE: And so that you can offer them
18 something, and if you see that there is advantage, you
19 move in steps. You first verify how specific and
20 sensitive the test is. Then you verify whether, in fact,
21 for example, the COX-2 inhibitors, the Onconase, all these
22 dry here, the blocks, the inflammatory response are able
23 to help these people in their progression.

24 If they are, then you have something to offer to
25 these people in case the mesothelin in there is high and

1 then you can justify why you would want to offer this to a
2 larger population. Until then, I agree with you. You're
3 doing a very selected group of patients because you need
4 to have the answers to the questions that we have stated.

5 Having said all that, I still think that this is the
6 most exciting thing that we can do because we need to move
7 forward. We need to be proactive. We need to come up
8 with solution to the problem. And the only way to find
9 solution to the problem is to work on these biomarkers and
10 to try to find out ways to detect airway disease and to
11 see if we can stop the process of the disease. If we sit
12 and don't do anything, then we are going to be in the same
13 situation 20 year from now.

14 DR. HILLERDAL: I quite agree with you, but I'm just
15 pointing out the very difficult ethical questions that you
16 have in here because you're dealing with human beings.

17 Dr. HOLGUIN: I'm assuming this -- you know, someone
18 who's not an expert on the topic. But I'm assuming these
19 levels of mesothelin are not related to the exposure. I
20 mean, they're related to --

21 DR. HILLERDAL: [Indistinguishable cross-talk]

22 DR. HOLGUIN: -- risk of developing mesothelioma, not
23 exposure.

24 DR. CARBONE: Mesothelin is not. Onconase -- excuse
25 me. What's its name? Osteopontin. That is the marker

1 that Pass described in his paper in *New England Journal of*
2 *Medicine* was found higher than background in people
3 exposed to asbestos. And so what he suggested is that
4 osteopontin can be a marker of exposure. Now we need to
5 verify that, and it could very well be that this marker is
6 so sensitive that it's going to be difficult to do so.

7 We're going to try that with erionite. We don't know
8 that erionite is going to do the same thing that asbestos
9 does, but the hypothesis is that osteopontin is a marker
10 of exposure and that mesothelin is a marker of disease.

11 DR. WEISSMAN: In osteopontin, I guess, the primary
12 end point for the *New England Journal* paper was the
13 presence of mesothelioma. In terms of asbestos exposure,
14 the group was dichotomized according to numbers of years
15 of exposure, you know, greater than ten, less than ten.
16 And there was extensive overlap between the two groups.
17 So the conclusion of the paper was that, you know, there
18 was potential, you know, to use it, you know, as a marker
19 of exposure. But the jury is really still out on that.

20 DR. CARBONE: Correct; exactly.

21 DR. WEISSMAN: And, I guess -- I guess -- you know,
22 to jump into the previous, you know, flow of conversation,
23 you know, I think everybody really shares, you know, your
24 enthusiasm and excitement about, you know -- you know,
25 about the positive, you know, findings with mesothelin and

1 osteopontin for, you know, identifying, you know,
2 malignant mesothelioma.

3 And I think -- I think we all agree that more
4 research needs to be done to further, you know,
5 characterize their usefulness and define the performance
6 characteristics in different populations, including the
7 low-prevalence populations, you know, that you were
8 describing. It -- I mean, it's really exciting. And no
9 doubt, we should move forward.

10 But in terms of ATSDR using the tests, you know, in
11 communities at this point in time, you know, I would agree
12 that it's not to that point yet. But, I mean, I think you
13 wouldn't find anybody in this room that wouldn't be
14 supportive of doing more research.

15 DR. HOLGUIN: How about osteopontin for exposure? Is
16 it ready for prime time to be used in the --

17 DR. WEISSMAN: No; no.

18 DR. CASTRANOVA: I don't think so either.

19 DR. ROGGLI: Well, either for either marker. I mean,
20 just -- just to give you an example, even in a highly
21 exposed, high-risk population -- let's suppose you've got
22 a population has a 50 percent risk of mesothelioma, like
23 the villages in Cappadocia. If your test finds that 50
24 percent of the people in that village test positive for
25 mesothelin or osteopontin, whichever one, and it

1 correlates perfectly with the ones who later develop
2 disease, then all you've done is predict who's going to
3 get the disease, and you've got to be sure that you have a
4 mechanism that's going to stop that from progressing if
5 that's going to be -- that that's going to be helpful.

6 If you find that 75 percent of the people in the
7 population test positive for that disease, then there's 25
8 percent that are not going to ever get mesothelioma that
9 you've now made worry about it because they had a positive
10 test. And if only 25 percent test positive in a pretest
11 situation, then you've given false assurance to half of
12 the people who are going to eventually get mesothelioma
13 that they're okay.

14 So I agree that more research needs to be done, but
15 what you need to do it in is good experimental animal
16 models where you can control the situation. You can
17 measure the markers and show that noninvasive techniques
18 such as ibuprofen or some other drugs work in a controlled
19 situation to prevent progression of disease before you're
20 really ready to even test that, I think, in human
21 population.

22 DR. HILLERDAL: So also let me answer that. Not all
23 mesotheliomas are positive for mesothelin. There are a
24 number of mesothelioma who are not. I think about -- was
25 it about 20 percent in Robinson's paper who did not have

1 increased levels? And of course, that means you have --
2 as well as false positives, you have false negatives.

3 DR. WHEELER: How about from a community study point
4 of view? Would they be useful at all in that kind of
5 situation, say, like the Schenker study that investigated
6 your living distance from outcroppings of asbestos
7 containing rock. He measured mesothelioma as the end
8 point. Could you use one of these biomarkers as the end
9 point?

10 DR. CARBONE: See, what I would hope is that although
11 too I agree right now that none of these tests is ready
12 for prime time. Since we have a unique population with
13 such high incidence of mesothelioma, it's not going to
14 take five or ten years to figure it out, how specific and
15 how sensitive these markers are. I really think that
16 testing these markers in this population will allow us to
17 give an answer relatively soon.

18 So yesterday, we do not have the answer to so many
19 questions. But, hopefully, within a year or so, we will
20 know more about the specificity and the sensitivity of
21 this test, and at that time, maybe, one can answer the
22 question that you've asked.

23 DR. WHEELER: Well, I think in Schenker's study he
24 had a large number of mesothelioma cases that they studied
25 and so, in that circumstance, was able to detect a two- or

1 threefold increased risk of disease related to where you
2 lived in regard to these geological outcrops.

3 The problem is when you're dealing with the low
4 levels and low risks of disease, considering how rare
5 mesothelioma is. And if you're looking at something that
6 has the risk of ten to minus four, for example, anything
7 that's that low a risk, there's no test available that
8 comes close to specificity or sensitivity that you would
9 need to be able to apply such a test usefully in a
10 community or a population situation that would useful.

11 DR. WEISSMAN: The denominator in the Schenker
12 studies was really huge, so -- well, and you know, but
13 that's the numerator. The denominator being the entire
14 population, you know, living in proximity to those
15 deposits is huge. And so doing this blood test on that
16 number of people is obviously a very expensive
17 proposition.

18 DR. KAPIL: I think it's actually a very interesting
19 line of conversation from my perspective. I -- I don't
20 think -- I'm not personally at all opposed to also
21 generating some research hypotheses, for lack of a better
22 term. Obviously, there are limitations based on the
23 discussion that's gone on so far, but I -- but I do agree,
24 Michele, that there may be some opportunities along these
25 lines too.

1 So I have a specific question for you about
2 osteopontin in some of the communities that we've already
3 done some screening, like x-ray screening or spirometric.
4 Let's stick with x-ray screening for a minute. In
5 communities like Libby and some of the other populations
6 related to Libby, we have a fairly high prevalence of
7 people with pleural abnormalities in some subsets of those
8 populations: 50 percent, for example, among workers in
9 Libby; 26 percent among workers in Marysville.

10 What about the potential for looking at osteopontin,
11 not necessarily as a clinically useful biomarker, but to
12 look at osteopontin levels in subsets like that and
13 perhaps trying to correlate with the presence or absence
14 of pleural disease and then also looking at nonexposed
15 populations without pleural disease?

16 DR. CARBONE: I am a co-investigator on a grant
17 sponsored by the EDNRN from the NIH, NCI, Early Detection
18 Research Network. The title of the grant is
19 Australia/U.S. Mesothelioma Consortium. And we are going
20 to do exactly that, and that is to study the Wittenoom
21 miners in Australia and study the Libby, Montana, and
22 other populations here in the United States. And they
23 will be tested for mesothelin and for osteopontin to
24 verify the reliability and specificity of those markers.

25 Those studies, of course, will have a large number of

1 people. And, in the meantime, I'm doing the studies in
2 the Cappadocian population.

3 DR. HOLGUIN: Yes.

4 DR. HILLERDAL: Have you decided what to do with the
5 positive cases in this study?

6 DR. CARBONE: Look, I am a co-investigator on this
7 (laughter). The PI, the principal investigator, is Harvey
8 Pass. I am a co-investigator. Bruce Robinson is an
9 investigator -- is a co-investigator too. We have agreed
10 that we are not going to do extrapleural pneumonectomy if
11 that's you're worried about. We have discussed at length
12 the problem of identifying high levels of mesothelin and
13 the ethical problem with that.

14 At the same time, it was concluded and decided that
15 the advantages outweighed the disadvantages and that we
16 needed to continue to do research on this test to verify
17 the specificity and the sensitivity. I think that it
18 would make sense to offer something to people who may have
19 high levels of mesothelin. The something should be not an
20 extrapleural pneumonectomy, should not be any type of toxic
21 treatment.

22 And that's why I was suggesting -- but this was just
23 a suggestion that has not been implemented into a clinical
24 trial -- to use drugs such as COX-2 inhibitors, such as
25 drugs that block specific part when in inflammation, the

1 TNF-alpha, Onconase, things like that that are not toxic
2 and that you can offer to these people. Whether that is
3 going to happen or not, I do not know. At the moment, the
4 trial is going exactly as I told you.

5 DR. HILLERDAL: Well, they might not be toxic, but
6 they do have side effects. As we know, both COX-2 and the
7 TNF-alpha inhibitors do have some serious side effects.

8 DR. CARBONE: But they also have some advantages.

9 DR. HILLERDAL: Yes, I know.

10 DR. CARBONE: I mean, ibuprofen, for people who have
11 arthritis --

12 DR. HILLERDAL: Of course.

13 DR. CARBONE: -- they feel better. So it's not that
14 bad.

15 DR. HOLGUIN: Okay. We're going to take a break.
16 How does that sound?

17 (Whereupon, a recess of approximately 33 minutes was
18 taken.)

19 DR. WHEELER: The panel's been doing so well in
20 sticking -- sticking to schedule so well, we thought we'd
21 show movies this afternoon. And sorry we don't have any
22 popcorn, but that's one of those government things.

23 I showed you a picture earlier of a creek that was
24 all damned up from a slide. This is a heck of a -- heck
25 of an exposure scenario. This is the mountain that sits

1 above that creek. Erin Larson from our regional office
2 brought this in. It's a time-lapsed photograph, but you
3 can see the -- you can see the mountain moving down the
4 side of the hill towards the creek. Now the community
5 wants to know what that's going to do to their health.

6 DR. CARBONE: How did you do that?

7 DR. WHEELER: Yeah. How did you simulate that
8 activity (laughter)?

9 DR. DODSON: Government can move mountains.

10 DR. WHEELER: [Off microphone]

11 THE COURT REPORTER: Microphone, please.

12 DR. WHEELER: I think those are individual pictures
13 over a year period that have been linked together, and
14 that's -- so you can see the mountain moving down into
15 the --

16 DR. GUNTER: Where was that? Is this the Washington
17 site? I'm sorry. I missed it.

18 DR. LARSON: [Off microphone]

19 DR. WHEELER: Seven miles south of the British
20 Columbia border.

21 DR. GUNTER: The British Columbia -- I mean, the
22 British Columbia-Washington border's 300 miles long, and
23 where about in there? Do you know?

24 DR. LARSON: [Off microphone]

25 DR. WHEELER: Use this mike.

1 DR. GUNTER: I live in this area. That's why I'm
2 more curious than normal.

3 DR. LARSON: I'm Dr. Karen Larson from the Seattle
4 regional office of ATSDR. This is a site directly north
5 -- well, almost north of Bellingham --

6 DR. GUNTER: Okay.

7 DR. LARSON: -- along the British Columbia-Washington
8 border.

9 DR. GUNTER: Thank you.

10 DR. WHEELER: All right. That's it. Sorry. Short
11 movie. Do you want to turn the lights up?

12 DR. HOLGUIN: Are those the previews (laughter)?
13 There is coffee available. I think it's not as dark as
14 the one I just made, so...

15 We had a very good discussion of osteopontin and
16 mesothelin, and I think we should probably take it up to
17 the same level with some of the other clinical tests that
18 we have pending discussion. These are clinical tests such
19 as spirometry to look for functional changes; clinical
20 tests such as x-ray or CT scans to look for pathological
21 changes, including plaques, pleural thickening, and
22 pleural effusions.

23 And again, any comments related to exposures and
24 relationship to risk, visibility of usage, public health
25 studies, et cetera. If anybody wants to comment. Do you

1 want to start with -- there's like three tests in here.
2 We could start with spirometry and functional changes and
3 how they relate to disease risk or disease progression or
4 exposure, if any. If nothing, let's move to the next one.
5 Any comment on spirometry?

6 DR. HILLERDAL: Spirometry is a not the test. It's a
7 test for the disease, not for an exposure. And if we're
8 talking about asbestos -- now, if you get an asbestosis,
9 you either have to -- if you have low exposure, you might
10 get that, but that would be very late in your lifetime.
11 On the other hand, if you have a very high exposure, then
12 you can have an earlier asbestosis, but I don't think
13 that's something we can expect from environmental.

14 You can find that sometimes in Turkey actually with
15 the elderly -- elderly farmers have been living there
16 environmentally exposed. They can have asbestosis, but
17 it's a rare finding. And another thing is that this is
18 very unspecific. The early changes are very unspecific.
19 You can, in a bigger group of asbestos-exposed persons --
20 if you have a big cohort, then you can see that on the
21 group level you do have -- you do have a somewhat
22 diminished function, but on the individual level, there is
23 no way of doing that. And smoking is much more -- it's
24 much more common to affect these spirometry tests.

25 DR. CASTRANOVA: Often, not only is it nonspecific,

1 but it's not very sensitive, certainly, to exposures. At
2 the levels we're talking about, I wouldn't think
3 spirometry would find something until you had chronic
4 disease.

5 DR. HILLERDAL: Right. It's a measure of disease,
6 not of exposure. That's what I was saying.

7 DR. ROGGLI: And if you had 1,000 abnormal results in
8 a big population like this, 999, if not 1,000, of them
9 would be something else besides the exposure issue --

10 DR. HOLGUIN: Sure; sure.

11 DR. ROGGLI: -- because of the confounding factors.

12 DR. KAPIL: I agree with pretty much everything
13 that's been said about this being really a measure trying
14 to assess pulmonary function, looking for abnormalities of
15 disease. But I do want to say just one thing about the
16 context for why it's -- and actually mentioned for the
17 panel to consider.

18 One of the things we hear quite a bit about -- I
19 think Aubrey alluded to this earlier -- is -- there are a
20 couple of things. One is that our measures of exposure,
21 what we've traditionally considered measures of exposure,
22 such as presence of pleural abnormalities on radiographic
23 finding -- as a radiographic finding, are those in any way
24 correlated to any functional impairment? So can
25 spirometry be helpful in assessing functional

1 abnormalities in those types of settings?

2 The other thing which is perhaps even more important
3 that we hear a lot from our communities which we're
4 dealing with amphibole exposures, particularly Libby and
5 related sites, is that the fiber exposures that we're
6 dealing with here are different than what we know about
7 asbestos in general and that, for some reason, there is a
8 unique, more severe, more rapidly progressing kind of
9 condition, pulmonary condition, associated with these
10 exposures.

11 So, you know, there's been -- there have been some
12 sort of case reports, anecdotal reports, of very rapid
13 progression of pulmonary function, of spirometric
14 abnormalities, in very -- over very short periods of time.

15 So that's sort of the background in terms of the
16 context. It may -- it may not necessarily still be
17 relevant for your discussion. But are there ways to use
18 spirometry data to get at these types of things? Either
19 trends over time in individuals or in a population of
20 people or in relationship to pleural abnormalities, for
21 example.

22 DR. CASTRANOVA: In the Libby group -- I have a
23 question. In the Libby group, do you see pulmonary
24 function changes earlier than the pleural changes?

25 DR. KAPIL: I'm hesitating a little bit in answering

1 that question because -- because really what we've done is
2 sort of a snapshot look. You know, we've done one big
3 screening in Libby, and then we've done once screening in
4 Marysville. We are doing some ongoing screening in Libby,
5 some of which are people that have already been screened.
6 But we don't have that data available yet to either -- and
7 we haven't analyzed the data yet. So I can't really tell
8 you a whole lot about temporal sort of trends. However,
9 my sense is that, in general, what we have seen in a lot
10 of folks in Libby is pleural abnormalities or disease
11 first.

12 DR. CASTRANOVA: Right.

13 DR. KAPIL: And many of those people don't have any
14 functional abnormalities on spirometry, on baseline
15 spirometry.

16 DR. CASTRANOVA: That would have been my prediction.
17 Yeah.

18 DR. MILLER: Hi. This is Aubrey Miller with Region
19 8, EPA. The problem with that is you don't have a
20 baseline prior to that, so while those people may be
21 physiologically within normal bounds by our criteria of
22 age and, you know, body habitus, the fact is -- is they
23 may have gone from, you know, a much higher level and
24 change, and you just can't see it, given the wide range of
25 what's a normal criteria for pulmonary physiology.

1 So, you know, it really depends on watching what Vik
2 alluded to, which is looking at the progression of disease
3 over time. There's one paper that Dr. Alan Whitehouse
4 published about his patient population from Libby that
5 showed decrease in pulmonary physiology in individuals
6 with pleural disease only, without interstitial
7 abnormalities, and has been following this population for
8 a while.

9 So I think this data is incredibly important, and
10 we'll continue to follow along and see what we show.
11 ATSDR is doing some additional studies to look at
12 progression of disease in this population as well as Jim
13 Lockey at University of Cincinnati.

14 DR. CARBONE: What is the number of mesothelioma and
15 in what population?

16 DR. WHEELER: Do you want me to take a stab at it?

17 DR. CARBONE: How many mesotheliomas have been
18 reported in Libby, and what is the total population?

19 DR. MILLER: The total population of Libby is around
20 10,000 people. The mesotheliomas is -- we have death
21 certificates over time and probably have death
22 certificates over about 25 years that have come to our
23 attention, and we have about 25.

24 DR. ROGGLI: How many of those were people who
25 actually worked in the vicinity --

1 DR. MILLER: Predominantly, those --

2 DR. ROGGLI: -- or were contacts?

3 DR. MILLER: Predominantly, those who worked. Now,
4 ATSDR did a mortality study. They used -- and I'll let
5 Vik comment further about the mortality study. Identified
6 a number of mesotheliomas, but the limits of the mortality
7 studies -- when you're going to do a standardized
8 mortality study, they have to have died in that geographic
9 location, so there's a fair amount of folks that have died
10 elsewhere. Three were used in the ATSDR mortality study.
11 Is that right, Vik? I think it was three.

12 DR. KAPIL: Yes.

13 DR. MILLER: Two of those were occupational. One was
14 not occupational. And as you would expect, you know, most
15 of the folks have been identified with disease in the
16 population, at least with respect to mortality statistics,
17 were, you know, former workers. And that's where the --
18 that's where the clinical observations were being made in
19 the population.

20 DR. KAPIL: Was that clear, Michele? Did that answer
21 your question?

22 DR. CARBONE: Yes.

23 DR. KAPIL: It depends on who you -- it depends on
24 what data you look at. The answer is it depends on who
25 you talk to. So we did a 20-year mortality study. I

1 think the years were '79 to '99 or something -- '79 to end
2 of '98. We found three cases based on review of the death
3 certificates.

4 However, from the community and the physicians in the
5 community and members of the community looking at --
6 looking at cases outside of the strict sort of definition
7 that we established for cases, they have identified other
8 cases. However, that's not work that ATSDR has done. I'm
9 not sure. Has that been published, Aubrey?

10 DR. MILLER: No. These are just cases that are
11 available -- you know, death certificates that are
12 available that most of them have been cross-referenced to
13 former workers in Libby. So that was one way they were
14 able to establish those as being related mesotheliomas to
15 the Libby population. But again, we've given the
16 limitations of mortality statistics and migration of folks
17 away from Libby. When the mine closed, a number of folks,
18 you know, relocated elsewhere.

19 DR. CARBONE: And the percent incidence among
20 workers, how much was that?

21 DR. MILLER: A study was -- an updated study was done
22 by J.C. McDonald in 2002 and 2004 and found a much
23 increased rate of mesothelioma in the original worker
24 cohort population -- around -- I'm thinking around 4 or
25 4-1/2 percent.

1 DR. KOPPIKAR: Five percent.

2 DR. MILLER: About 5 percent was the amount. Yeah;
3 upper 4 percent; about 5 percent, according to Aparna. So
4 you compare that as about equivalent to the rates that
5 we're seeing in the Wittenoom population, the crocidolite-
6 exposed miners.

7 DR. CASTRANOVA: In the pulmonary function in Libby,
8 is it more restrictive disease or obstructive?

9 DR. KAPIL: The -- that's a -- that's a tricky
10 question because, as an absolute number, I suspect that,
11 you know, there are probably a lot of people with
12 obstructive abnormalities. But we were specifically, of
13 course, interested in restrictive or mixed abnormalities,
14 and the percentage of people with those restrictive or
15 mixed abnormalities is relatively very -- you know, it was
16 a very small number.

17 However, as Aubrey said, you know, one of the issues
18 is we don't have baselines on these folks and we're only
19 looking at spirometry. So there's some limitations. One
20 of the things that I think would probably be helpful for
21 the panel to understand is that the screening that we've
22 done is it's truly screening. I mean, we haven't done any
23 diagnostic evaluation of these folks in any way, shape, or
24 form. There's no exam. There's no CT scanning. There's
25 no complete pulmonary function testing done, no diffusion

1 capacity, or anything.

2 So all of that kind of stuff is left to physicians in
3 the community. So some of the things that Aubrey's
4 mentioned -- he's got a lot of additional information
5 that's from community physicians and from his experience
6 in Libby, so it's not necessarily exactly what we've
7 reported in our reports. Is that fair?

8 DR. MILLER: Yeah. I think so. And, you know, we
9 did it as a collaborative effort --

10 DR. KAPIL: Right.

11 DR. MILLER: -- you know, so between the federal
12 agencies, including NIOSH, at the time, to some extent.

13 So the -- you know, it was a standard -- the medical
14 screening was a standard B-reading x-ray. It's with
15 pulmonary function tests and a questionnaire, which
16 include occupational history, nonoccupational exposures,
17 medical history; a very kind of standard format. And the
18 case definition for identification of those with pleural
19 abnormalities was agreement by two of three B-readers,
20 using ILO criteria; so, you know, very kind of standard
21 approach.

22 DR. HOLGUIN: Just a quick question. So there's --
23 again, is there anything known about other pulmonary tests
24 besides spirometry, like diffusion capacity? Has anybody
25 done or anybody knows about using, first, oscillation

1 techniques? I know you can look at airway impedance and
2 sort of partition how much of that is respiratory, how
3 much of the impedance comes from the airway, how much
4 comes from the tissue, how much comes from the chest wall
5 even. Has any -- and that's actually very applicable in
6 the field. Has anybody -- impulse oscillometry or forced
7 inhalation techniques, have they been used? No? It
8 sounds kind of weird.

9 (No audible response)

10 DR. HOLGUIN: All right. I thought it was a good
11 try. Any more on spirometry? No?

12 DR. WEISSMAN: Just one comment is that the issue of
13 following longitudinal spirometry in looking at declines
14 over time was brought up, and I think anybody who gets
15 into that needs to appreciate that it's a lot more
16 complicated than it might appear on the surface. There's
17 a lot of noise in spirometry normally, and that noise
18 exceeds, often exceeds, the annual declines that you might
19 expect. So it's not a trivial matter to have really high-
20 quality spirometry done, and it needs to be done over a
21 period of years for you to be able to say anything about
22 longitudinal.

23 DR. HOLGUIN: Sure. And it's certainly confounded,
24 you know -- also, particulates can affect lung growth or
25 rate of lung-airway function decline over time.

1 X-ray, CT scans: comments? Do you want to steer the
2 discussion -- make a little bit of CT scans and x-rays?

3 DR. KAPIL: Yeah. We would very much appreciate
4 hearing from the panel. I think everybody has a pretty
5 good feel for limitations of x-rays, plain films. We
6 would appreciate hearing from the panel -- obviously, it's
7 a noninvasive test. It's fairly cheap. It's fairly
8 easily doable -- on the use of a panel of B-readers, as
9 opposed to using a single B-reader; what the issues are
10 related to B-readers, which I think, again, most of us are
11 reasonably familiar with.

12 CT scans have, in some circles, been sort of
13 considered sort of the gold standard, if you will, and
14 we'd like to hear from the panel on that perspective. We
15 have had issues in some of these communities even with CT
16 scans in terms of interpretation of CT scans. So we'd
17 like to hear from folks who have some familiarity with
18 interpreting CT scans for -- again, for the more subtle
19 kinds of abnormalities. I think most people can agree on
20 the obvious disease and the obvious abnormalities.

21 I'm sorry. One more thing. And, of course, again,
22 things were probably -- most of us are familiar with the
23 use of CT scanning as a screening tool. I think it's been
24 already alluded to in the context of smoking and lung
25 cancer, but in this context. Thank you.

1 DR. HILLERDAL: The problem is that pleural changes
2 -- they usually take 20 or 30 years to develop, and they
3 slowly progress. And the early ones -- if you take
4 pleural plaques, which is the most common lesion really,
5 and they develop -- and the early cases, they are very
6 unspecific.

7 And if you use the ILO system, you know, they have a
8 graded scale, and the lowest grades, they are really not
9 very good. But you get a lot of background noise, if you
10 like, and there are things like if you have a heavy-weight
11 people, which tends to have more air in them than we have
12 back home in Europe, then you can get lots -- you get a
13 lot of false positives, even with B-readers actually.

14 So I think if you're going to do something like this,
15 you should have a control group and you should really mix
16 these x-rays so that readers have no idea which are
17 exposed and which are not. That is for the early lesions.
18 Now, the more advanced lesions, they are -- I mean, they
19 are -- obviously, that's no problem.

20 What about CT scan? Well, CT scan can really
21 discover small plaques much earlier than you can see them
22 on the chest x-ray. But, again, this has not been really
23 evaluated, compared. You know, we have a number of
24 studies where we have compared findings of chest degrade
25 with findings at autopsy, and it's a big discrepancy of

1 area. You find many more at autopsy than you do at the
2 chest x-ray. But this has not been done, to my knowledge,
3 with CT scan, and I don't think it ever will be done
4 because the autopsy rate is down so very much.

5 DR. HOLGUIN: How about this -- it's more specific
6 for asbestos than pleural findings. How about this
7 pleural line, subpleural lines?

8 DR. HILLERDAL: Yes. But they are unspecific. You
9 know, they can come with diseases; like, you have the
10 diffused pleural thickening. That is much more common in
11 asbestos workers, but it's also nonspecific finding.
12 Really, you can find that in many other cohorts as well,
13 so you have to be careful there. So it's not easy, and
14 really, if you want to find -- if you want to find -- if
15 you want to find a high incidence of pleural plaques, what
16 you should have is workers who had been exposed to
17 asbestos 20 or 30 years ago because that's the first time
18 when you will be able to see them.

19 DR. HOLGUIN: Okay.

20 DR. WEISSMAN: I think chest x-ray definitely has
21 limitations that people know. Already mentioned, that in
22 autopsy studies, you know, about 20 percent of exposed
23 people that have, you know, histologic changes, have
24 normal, you know, x-rays. So, you know, there are
25 limitations to the technique itself.

1 It's been estimated that in a population -- if you
2 have a population prevalence of about 5 percent of
3 asbestosis in an exposed population, at 5 percent, there's
4 a positive predictive value of the chest film alone in
5 terms of interstitial changes of about 40 percent when you
6 figure in the sensitivity and specificity of the x-ray.
7 So x-ray isn't perfect. But, you know, that being said,
8 it's, you know -- it's inexpensive. It's practical.
9 Chest radiograph, you know, especially in the more
10 advanced changes, you know, gives reproducible results.

11 Vik was asking about some of the specifics about ILO
12 classification should be done, and the ILO has really good
13 guidelines on how to apply ILO classifications to
14 populations. So it's extremely important to select
15 readers who, you know, have mainstream, you know, reading
16 tendencies so you can use quality-assurance films to
17 screen perspective readers that you use and, you know,
18 make sure, you know, that they read, you know, in a
19 mainstream way.

20 It's important to use quality-assurance films. Spike
21 films into packets that are read, you know, to be sure
22 that people maintain, you know, their central reading
23 tendencies, and there are publications in terms of giving
24 feedback to people. The ILO recommends using at least two
25 and preferably more individuals to classify films in

1 epidemiologic studies, and that would apply to this.

2 The ILO also recommends blinding so that when people
3 read films, they're not aware of the exposure status or
4 where the films come from. An NIH panel, like, 20 years
5 ago recommended spiking of films that were from normal
6 films from low-exposed populations into packets, you know,
7 to make sure that the background reading wasn't, you know,
8 unacceptably high in reads.

9 So there are really good criteria for how to do it,
10 recognizing that the technique itself isn't perfect, but
11 it's still pretty good.

12 DR. HOLGUIN: What kind of kappa scores do you get
13 from pulling a few B-readers and looking at an x-ray?

14 DR. WEISSMAN: Well, you know, if you look at
15 individual B-readers -- and, you know, as you know, I
16 mean, there's a big literature of taking individual reads,
17 you know, and looking at kappas from individuals, you
18 know, and you can often -- and it's not uncommon in a big
19 reading study to get relatively poor kappa scores, and
20 that's why you need to have a group of readers read and
21 use a summary reading that's at the central, you know,
22 tendency of a group of readers, and then you do better.
23 But if you -- you can't use single reads by individual
24 readers.

25 DR. ROGGLI: I think one thing that you could say

1 positive for x-ray screening is that, in our studies,
2 we've found -- and others have found -- that there's a
3 high percentage of patients with mesothelioma have pleural
4 plaques. It's more than 70 percent of the cases in our
5 study. Others reported more than 80 percent, depending
6 upon the population. And I believe if you were to screen
7 a population with adequate latency, at least 30 years, and
8 found no evidence of increased plaques in that population
9 -- at least that you couldn't explain by occupational
10 exposure -- that it would be highly unlikely that you'd
11 ever be able to demonstrate an increased risk of
12 mesothelioma in that population.

13 DR. WEISSMAN: Good point.

14 DR. HILLERDAL: Maybe with the exception of the
15 kainite and of the erionite people because they don't have
16 very many plaques that -- what are you saying?

17 DR. CARBONE: Because in mesothelioma that it happens
18 some 20 years earlier than in the United States, it could
19 be that they die before they develop that many. But I
20 agree with you that there is not 70 percent incidence of
21 pleural plaque. There are some pleural plaques. And
22 actually, the first person who was ever diagnosed by Baris
23 is somebody who was diagnosed because of pleural plaque.
24 He was his first patient, and every time you go to the
25 villages, you meet him and he is absolutely fine, which is

1 an anecdotal thing to say that the plaques do not
2 necessarily mean that you're going to get mesothelioma
3 fortunately.

4 I don't understand why it takes 30 years for the
5 plaques to develop. That's what I was trying to think
6 when you were talking about it. It doesn't make sense to
7 me, but, obviously, it takes 30 years.

8 DR. WEISSMAN: And I think that one -- with regard to
9 pleural plaques, one thing I would bring up would be the
10 ATSDR, you know, publication recently where groups of
11 three readers, you know, read x-rays for the presence of
12 pleural changes. And so ATSDR did a study looking at of
13 the subset of films where one of the three individuals
14 doing ILO classifications said there were, you know,
15 pleural changes and the other two did not.

16 And they found that in about a third of those films,
17 if you did CT, you actually identified the presence of
18 plaque. So in terms of looking for plaque, it seems that
19 an integrated approach that perhaps used both plain film
20 and CT in a subset might be a better way to go than just
21 using plain film alone.

22 DR. CARBONE: Since you are all tired, let me tell
23 you one thing to relax very by, and then we continue.
24 December of last year, we went to the village, the
25 Cappadosian villages, with Baris and Emri, and we did the

1 screen with a normal radiologic x-ray machine of 65 people
2 who we found outside. They are sitting there. Out of 65
3 people, five had pleural-based tumors, which gives you the
4 idea of the incredible situation that happens over there.

5 DR. HILLERDAL: How many had plaques?

6 DR. CARBONE: I don't remember. I was trying to
7 think about that. I don't remember.

8 DR. ABRAHAM: That's amazing.

9 DR. KAPIL: So just a follow-up question for Vik.
10 The -- you said that most cases of mesothelioma, at least
11 70 percent or something, have pleural plaques. Would the
12 panel be able to comment on the -- on sort of the
13 corollary of that? If you have a pleural plaque -- and
14 this is the issue that we're facing a lot in our
15 communities. If you have pleural plaques -- let's say for
16 a moment -- in the absence of any other functional change
17 and any other x-ray functional abnormalities, what is sort
18 of the bottom line on your future lung cancer and/or
19 mesothelioma risk?

20 DR. ROGGLI: It probably depends on the population,
21 and it depends on how you define plaque. Gunter did an
22 excellent study published in *JAS* back in '94 -- wasn't it?
23 -- that showed if you define bilateral plaques -- they had
24 to be bilateral, first of all; had to have at least 5
25 millimeter thickness or calcification. In a population

1 that met that criteria, there was 11-fold increase of
2 mesothelioma and a very modest increase of lung cancer,
3 which I'm not convinced wasn't related to subclinical
4 asbestosis or even misclassification of smoking, as far as
5 that's concerned.

6 DR. HILLERDAL: Exactly. It depends on the
7 population, and if you go to Finland, they have these
8 environmental anthopyllite exposure there, so they have
9 the most beautiful plaques you can see, and they have
10 absolutely normal lung function, and they have very, very
11 little incidence of mesothelioma.

12 Actually, a few years ago, they said that
13 anthopyllite doesn't cause mesotheliomas, but now they
14 have shown a few cases. But it's a very low incidence,
15 and we have to remember that most people with pleural
16 plaques will die of normal causes -- I mean, unasbestos-
17 related causes. And that's what we have to say to our
18 patients. If the patient says, "Oh, I have pleural
19 plaques now. It's my death certificate." But it is not.
20 Most people will -- will live all their life with those
21 plaques, and they will die from heart infarction and
22 whatever.

23 DR. WEISSMAN: And I'm probably not the one to say it
24 at the table, but there are, you know, others at the table
25 that have looked at lung burdens associated, you know,

1 with plaques, and, you know, clearly, you know, they're
2 not as high as lung burdens associated with some of the
3 other manifestations.

4 DR. HILLERDAL: No. They are somewhere in between.
5 You get one group unexposed. You get one group with
6 pleural plaques, and then you get another group with
7 mesothelioma. And there is a clear difference on them.
8 Of course, they're overlapping. That's the problem. They
9 are overlapping.

10 You can find people with beautiful pleural plaques
11 who have very low fiber levels, and you can find people
12 with high levels of fibers who have no pleural plaques.
13 So it's very -- it's very difficult, and there is no
14 absolute correlation. But I would say that having pleural
15 plaques is an indication of being exposed to asbestos, and
16 because of that, you have an increased risk of
17 mesothelioma and, I think, of lung cancer and of
18 asbestosis, which are the diseases really we are talking
19 about.

20 DR. GUNTER: Do the increased pleural plaques relate
21 to any specific kind of asbestos?

22 DR. HILLERDAL: Well, as I said, there is a definite
23 difference between, you know, Finnish anthophyllite and
24 crocidolite. And I would be much more worried if I had a
25 patient that had been exposed to crocidolite and had

1 beautiful plaques than if it was a man who had been
2 exposed to anthophyllite and had the same plaques. I think
3 the risk for the crocidolite man would be much higher than
4 the one for the anthophyllites. So they don't go hand in
5 hand. And why this is so, I have no idea, but it would be
6 very interesting to investigate.

7 DR. GUNTER: And how about chrysotile?

8 DR. HILLERDAL: Chrysotile, I think -- well, I don't
9 know if we should take up that discussion here: What does
10 chrysotile do, and what does it not do? But, basically, I
11 think if you are exposed -- we have some cohorts who have
12 been exposed to very low levels of chrysotile and pure
13 chrysotile and no amphiboles mixed in, and they have no
14 increased disease really nor do they have any increased
15 pleural plaques. That's my personal opinion.

16 DR. GUNTER: Now, was it -- part of this was a
17 conversation we were having that I was curious, you know,
18 if minerals do change in the lung. Minerals dissolve, and
19 if they contain certain elements, maybe those elements are
20 translocated to the pleura to form the pleural plaques.
21 So that's why I was searching -- we had that discussion
22 this morning.

23 DR. HILLERDAL: Yes.

24 DR. GUNTER: -- searching for a mineralogical reason
25 and the alteration in the metal that might create them.

1 DR. HILLERDAL: Yeah. Well, that's an interesting
2 hypothesis. I have no other comments on that.

3 DR. ROGGLI: One of the obvious differences between
4 the anthopyllite and the crocidolite is diameter, fiber
5 diameter.

6 DR. HILLERDAL: Yes; yes.

7 DR. ROGGLI: And probably the -- some mechanism we
8 don't understand yet that that's important in the
9 carcinogenic process. But the few Finnish cases that have
10 been related to anthopyllite have been in the miners,
11 haven't they?

12 DR. HILLERDAL: Yes; yes. And they have had quite a
13 considerable exposure and lots of fibers. I was told that
14 in -- I think it was in Bolivia. They have a crocidolite
15 mine, but that crocidolite is much thicker. It's much
16 more like anthopyllite, and they claim that there are no
17 -- they have no mesotheliomas there, and that would be
18 very interesting to investigate to see whether that is
19 true.

20 But that would make sense because -- this is another
21 problem -- that the same fiber -- I mean, tremolite from
22 that mine or from that area is not the same tremolite as
23 we can find somewhere else. And the same goes for
24 crocidolite and, I think, for anthopyllite because when I
25 -- when I read the -- I think it was your paper about

1 finding anthophyllite in mesotheliomas in the United
2 States, and I looked at the diameter of that, and this was
3 much thinner anthophyllite than what they find in Finland.

4 So I think it's much more complicated than just
5 putting them in different categories, which leads into
6 another question we discussed in the lunch, if it will be
7 possible to find -- to define the fiber we find in the
8 lung and say that this one comes from Libby. This is not
9 -- this is not from -- from Tyler of something like that.
10 That would be very interesting, and I think it's been
11 done. There are studies on that.

12 DR. CASTRANOVA: If I remember right --

13 DR. DYKEN: I wonder is I could ask a question. If
14 you could, clarify what you mean by thicker fibers and
15 thinner fibers. Do you have any range of diameters?

16 DR. ROGGLI: Yeah. The average diameter of a
17 crocidolite fibers in populations that have been studied,
18 that many of them two-tenths of a micron. The average
19 diameter we find in the lungs of amosite are probably
20 around three-tenths to four-tenths of a micron. The
21 average diameter for tremolite's probably more like half a
22 micron or a little larger, and anthophyllite runs about a
23 micron in our lab in thickness on average.

24 We've seen some thinner. I mean, there's ranges of
25 values that overlap, and there would be individual fibers

1 you can see and you predict it's going to be one type of
2 fiber. When you analyze it, it's not. So there is
3 overlap, but this is just average values that I think we
4 and others have found.

5 DR. DODSON: There's a study --

6 DR. CARBONE: What about chrysotile? What's the
7 size?

8 DR. ROGGLI: Well, once it's been in the lung for a
9 while, it's broken down into diameters which are well less
10 than a tenth of a micron.

11 DR. DODSON: As a continuation of and in response to
12 that question, you get slightly thicker fibers. You're
13 going to when you coat with an SEM. The TEM, where you
14 don't do the coating, crocidolite and chrysotile both have
15 diameters that are very similar, and the fibril or the
16 single fiber thickness is in hundreds of a micron.

17 There is no difference, by the way, regarding the
18 thickness of Bolivian blue crocidolite. It only happens
19 to be in a higher concentration of magnesium than the
20 Australian or the South African. So it was a good try,
21 but that's not the case.

22 DR. HILLERDAL: That's good.

23 DR. CASTRANOVA: If I remember, David Bernstein was
24 studying the Brazilian crocidolite. And although the data
25 are very controversial, he claims that it's not

1 biopersistent in the lung, and he's taken some lung slices
2 and done confocal microscopy, time postexposure, at least
3 in a rat lung, and sees the fibers actually getting
4 shorter, which is very unusual. And maybe that had
5 something to do with the Brazilian result.

6 DR. ROGGLI: Are you talking about Bolivian or
7 Brazilian?

8 DR. CASTRANOVA: Brazilian.

9 DR. DODSON: He's talking about chrysotile, not
10 crocidolite.

11 DR. CASTRANOVA: Yes.

12 DR. ROGGLI: Brazilian crocidolite?

13 DR. DODSON: Chrysotile.

14 DR. CASTRANOVA: Chrysotile.

15 DR. DODSON: We mixed it with Brazilian crocidolite a
16 minute ago. So no, it's Bolivian blue, which is
17 crocidolite. He's talking about Bernstein's animal study.

18 DR. HILLERDAL: This question about pleural plaques
19 versus amphiboles and chrysotile -- there has been a lot
20 of studies going on up in Canada, and there were
21 conflicting results, and it's difficult to really -- for
22 an outsider to decide what is what.

23 But there are big mines. There is a big mine in
24 Russia, and that mine has a very low level of tremolite.
25 And I heard this from my Finnish friends who have been

1 doing studies there, and they have a low level of pleural
2 plaques and low level of mesothelioma, and they have low
3 levels of tremolite in the lungs.

4 You know that if you take a Quebec miner, you will
5 find more tremolite than you will find chrysotile in his
6 lungs. But the same lungs in the Russian mine will have
7 only -- one mine is a low level of tremolite. That's what
8 my Finnish friends tells me, so I think there is some
9 truth in that, I think.

10 DR. CARBONE: So you say that chrysotile do not cause
11 pleural plaques?

12 DR. HILLERDAL: I say that chrysotile has not such a
13 high tendency to cause pleural plaques as do the
14 amphiboles.

15 DR. CARBONE: And on the other hand, if I remember,
16 was it Sebastien who said what you find in Dabara is
17 mostly chrysotile rather than crocidolite; right?

18 DR. HILLERDAL: Yes. That is quite true. Yes. But
19 there are -- you do find amphiboles also in the pleura,
20 and that's another thing. How do the pleural plaques get
21 there? And that's very interesting, but we have no idea
22 of that. And of course, Ron, you have done studies with
23 the pleura, and you have found quite large amphiboles as
24 well in the pleura, haven't you?

25 DR. DODSON: We have found both amphiboles and

1 chrysotile in the pleura, but preferentially, in the
2 plaques, it was chrysotile, just like Sebastien reported.
3 And there are -- there are -- there is a population of
4 some longer fibers, greater than five, that reach those
5 sites, but it's not the same as you find in the lung of
6 distribution at all. It's a very minority component.

7 DR. CARBONE: So basically, you're saying that
8 chrysotile could contribute to pleural plaques when there
9 is also crocidolite?

10 DR. DODSON: No. I think what you heard me say is I
11 found chrysotile in pleural plaques, and I've also, in
12 some cases, found some amphiboles.

13 DR. CARBONE: Yes. I just have to put it --

14 DR. DODSON: It just happened to be crocidolite and
15 the amosite.

16 DR. CARBONE: I was trying to put it together with
17 what he say, that chrysotile -- that there were studies
18 that didn't find that chrysotile caused pleural plaque.

19 DR. HILLERDAL: But it's the same problem because you
20 never -- it's very, very difficult to find a cohort which
21 has been exposed to only one single fiber. There seems
22 always to be mixture in the lungs. So if you have
23 amphiboles, you will find also chrysotile. And if you
24 find chrysotile, you will find other sorts. So it's very
25 difficult to really decide whether chrysotile is innocent

1 or not in causing plaques, for instance.

2 But if you look on the -- it the other way around --
3 if you look at, for instance, that our cohorts in Sweden,
4 you have asbestos-cement workers and things like that, and
5 those who are exposed to early chrysotile have a very low
6 level of disease and of pleural plaques. I don't count
7 plaques as a disease.

8 But whenever you mix amphiboles into that cement, you
9 will have trouble with mesotheliomas and with pleural
10 plaques later on. So I definitely think that the
11 amphiboles are at least much more danger than is
12 chrysotile. And I hope nobody will kill me for that. But
13 I'm not sure that that's what we should discuss at this
14 meeting here.

15 DR. ROGGLI: No. But I'll kill you instead for the
16 pleural plaques not being a disease (laughter). It's an
17 interesting question because back in 1986 we submitted an
18 article to the *British Journal of Industrial Medicine*, and
19 we had described 110 cases of asbestos-related diseases,
20 and one of the reviewers said, "Well, 40-some-odd of your
21 cases are pleural plaques only, and that's not a disease."
22 So I had to respond to that, so I went to *Stedman's*
23 *Medical Dictionary* to see how it defined disease.

24 And it gave -- they gave three criteria. One is that
25 you had definable morphological features, which plaques

1 have; that you have a recognized symptoms or signs related
2 to the disease, which plaques don't have; or it has a
3 recognized cause, which plaques do have. And it said if
4 any two of those three are met, it's a disease (laughter).
5 And so that's when we wrote back to the editor, and they
6 didn't make us change our paper. So according to
7 *Stedman's Medical Dictionary*, pleural plaques are a
8 disease (laughter).

9 DR. ABRAHAM: Or it shouldn't be called pleural
10 asbestosis. We should all agree to that.

11 DR. ROGGLI: Right. I agree with that.

12 DR. WEISSMAN: Well, and more to the point of this
13 conversation, it's useful to document their presence,
14 particularly if they're bilateral --

15 DR. HILLERDAL: Absolutely; yes.

16 DR. WEISSMAN: -- and calcified.

17 DR. CARBONE: But if you say to somebody that because
18 he has a pleural plaque he has a disease, then he or she
19 thinks that he's sick. Maybe it's better to explain to
20 them that in spite of the fact that they have disease that
21 they are not sick.

22 DR. ROGGLI: Then you have to have the discussion of
23 what do you mean by sick.

24 DR. CARBONE: Well, sick means that you have to have
25 some symptoms. I mean, otherwise we are nothing.

1 DR. ROGGLI: Well, just explain that *Stedman's*
2 *Medical Dictionary* does not require you to have symptoms
3 to have a disease.

4 DR. CARBONE: Where is this dictionary from?

5 DR. ABRAHAM: The word "disease" means not at ease;
6 right? It's some sort of unease.

7 DR. HILLERDAL: Well, in Sweden, when this thing came
8 up about 20 years ago, this became an issue, and labor
9 unions demanded that everybody who had pleural plaque
10 should get compensated, irrespective of whether he was
11 sick or not, because that's a disease and it should be
12 compensated. And for a society that everyone who had that
13 should have -- I think it was something -- almost \$2,000
14 cash, tax-free, for showing plaques.

15 And of course, there was a big storm of people
16 running up and of lots of fat people who were compensated
17 because of that. So after some years, they took it away,
18 and now you need pleural plaques and some proven disease,
19 if you will, that is, some low lung function. So now,
20 instead, you have heavy smokers with pleural plaques.
21 They get compensated.

22 DR. WEISSMAN: But pleural fat -- pleural fat is not
23 a pleural plaque.

24 DR. HILLERDAL: No. But that's how many of my x-ray
25 -- my chest x-ray colleagues, you know -- many

1 radiologists define that as that, and we get that all the
2 time. And of course, if you specifically ask for pleural
3 plaques, you will get a lot of -- because that was new,
4 B-reading, like that. It was just accepted, you know.

5 Somebody said that you had pleural plaques -- and I
6 looked at many of these cases and said, "This is not
7 pleural plaques." But the man was compensated anyway.
8 Even worse is sometimes he was registered as having
9 asbestosis in his records, which -- well, that's another
10 problem.

11 DR. HOLGUIN: I haven't heard -- I mean, maybe you
12 were talking about it when I left the room. Has there
13 been any -- you know, this is a diagnosis we sometimes
14 consider in clinical practice, but benign-based pleural
15 effusions. Is that something you see? don't see? Do they
16 occur more frequently or at a more rapid pace than after
17 exposure?

18 DR. HILLERDAL: We saw many more cases earlier
19 because this is the only disease or -- even if you count
20 pleural plaques, this is the only disease that has a very
21 short latency time from asbestos exposure. And now we
22 have -- so now we see them very rarely. And also, of
23 course, it's very difficult to make the diagnosis because
24 this is an exclusion diagnosis. You have to exclude other
25 courses.

1 But my personal impression is that now, when asbestos
2 exposure is very low generally in society, we see much
3 fewer of them. What we do see are the diffuse pleural
4 thickenings. They are a real disease, and I think many of
5 them are remnants of such a pleurisy but because -- it's
6 really surprising. The thing with these pleurisy is when
7 we did regular scans, we sometimes found the people who
8 were completely healthy and they had 1 liter of effusion
9 in the lungs. And we took it out, and then, of course,
10 after -- when we had done that, they admitted to having
11 some symptoms. But before that, they had no symptoms; a
12 little flu maybe.

13 So this is something that can pass without anybody
14 noticing it, and sometimes they heal completely and you
15 could see no remnants of it. Very often, you see a
16 rounding of the sinuses and sometimes you see quite thick,
17 big thickenings around it. And that gives a very -- can
18 give a very restrictive disease.

19 DR. KAPIL: I do want to just remind the panel that
20 we would like to hear very briefly about CT scanning, but
21 I have comment about your pleural effusions. Just a point
22 of interest sort of. The 1980 screening that was done in
23 Marysville, Ohio, back in 1980 by the University of
24 Cincinnati folks, was originally done because there were
25 several reports of bloody pleural effusions among the

1 workers at that plant. Among the 500-odd workers, at
2 least six, seven, eight bloody effusions were reported,
3 and that was the precipitating event for the original
4 screening back in 1980.

5 DR. HOLGUIN: Did you see much in Turkey? Do you see
6 pleural effusions over there as a common occurrence?

7 DR. CARBONE: Yes. Don't ask me the percentage
8 because I don't remember. But, certainly, you do see
9 pleural effusion.

10 DR. ROGGLI: As I recall, the cases -- the studies
11 that looked at it show evidence of a dose-response
12 relationship as well for them. So in a low-dose-exposed
13 population, you can expect to see a low, very low, if any,
14 number of cases of benign asbestos effusion-related dose
15 exposures. And you're going to have a very big problem
16 again with noise from effusions caused by the numerous --

17 DR. HOLGUIN: Sure; sure.

18 DR. ABRAHAM: What about in Libby, where there's
19 extensive pleural disease?

20 DR. KAPIL: We didn't see any in our screening that
21 I'm aware of. But again, from physicians in the
22 community, they've reported several cases of pleural
23 effusion in the community, just not in our screening.

24 DR. CARBONE: What about chrysotile? Does it cause
25 pleural effusions?

1 DR. HILLERDAL: I don't think so. It does in animals
2 if you put it in the pleura.

3 DR. CARBONE: Excuse me?

4 DR. HILLERDAL: If you put -- if you put it in the
5 pleura in animals, you will get the big effusion, but not
6 in human beings. No. I don't think so.

7 DR. CARBONE: Among workers of mines of chrysotile,
8 they don't get it?

9 DR. HILLERDAL: No. I haven't seen it.

10 DR. HOLGUIN: Any comments on CT for -- or should we
11 leave it for tomorrow? No?

12 DR. KAPIL: That's fine. Wrap up.

13 DR. HOLGUIN: Jill's asked me to -- I'm going to pass
14 this along to the panelists and -- just some questions for
15 you to continue to think overnight about these issues.

16 DR. ROGGLI: Oh, boy; homework.

17 DR. HOLGUIN: This is your homework, so I'm going to
18 read it. I'm just going to briefly read it for the -- so
19 the public can be of service and take notice.

20 The first question is, "ATSDR evaluates asbestos
21 exposures in communities using the Health/Risk Assessment
22 paradigm of obtaining a best estimate of exposure combined
23 with corresponding risk levels to make health
24 determinations. Given the state of biomarkers of exposure
25 and disease, are there any methods ATSDR should be

1 utilizing instead of" -- in parentheses -- "or in
2 conjunction with health assessment techniques?"

3 Second question: "BAL appears to present the best
4 correlations to lung fiber burdens and also presents a
5 test that can be performed ethically and economically.
6 What would need to be done to make this technique useful
7 for estimating increased exposure or increased risk?"

8 Third question. "Please consider two exposures: a
9 long-term, relatively continuous versus a high-level burst
10 or bursts" -- quote -- "of exposure at the beginning of
11 the time period. Even if the overall number of fibers was
12 the same, would you be able to tell the difference in any
13 fiber burden test" -- parentheses -- "autopsy, BAL,
14 sputum? Would the expected risk of disease be similar or
15 different?"

16 Question 4. And please don't -- I know you might
17 find it hard, but don't reply right now; tomorrow.

18 (Reading) "Would results of fiber burden analysis by
19 autopsy, BAL, or sputum differ depending on the mineralogy
20 of amphibole asbestos, similar to the differences between
21 chrysotile and amphibole?"

22 Five: "How do fiber dimensions change over time after
23 deposition in the lungs? Is there a correlation with
24 exposure fiber dimensions on which risk models are based?"

25 Six: "Would serum biomarkers be useful for

1 populations/communities exposed to asbestos and other
2 similar asbestiform fibers, particularly amphiboles" -- in
3 parentheses -- "like in Libby or Montana?" Montana,
4 question mark.

5 (Reading) "Would osteopontin be useful as a marker of
6 exposure in exposed communities as a research tool or to
7 correlate with pleural disease absence or presence?"

8 And last question, "Please comment specifically on
9 carbon monoxide diffusing capacity as a clinically useful
10 means for evaluating restrictive disease."

11 That's a lot of homework (laughter). Please provide
12 more than yes/no answers.

13 DR. ROGGLI: Darn.

14 DR. GUNTER: There's also unsure.

15 DR. HOLGUIN: Thank you all for your participation
16 today (applause).

17 (Whereupon, the proceeding was adjourned at
18 approximately 4:46 p.m.)

D I S C L O S U R E

STATE OF GEORGIA)

COUNTY OF COBB)

Pursuant to Article 8.B. of the Rules and Regulations of the Board of Court Reporting of the Judicial Council of Georgia, I make the following disclosure:

I am a Georgia Certified Court Reporter. I am here as a representative of Nancy Lee and Associates, who was contacted to provide court reporting services for this proceeding. I will not be taking this proceeding under any contract that is prohibited by O.C.G.A. 1514-37 (a)(b).

I have no contract/agreement to provide court reporting services with any party, any counsel, or any reporter or reporting agency from whom a referral might have been made to cover this proceeding. I will charge the usual and customary rates to all parties, and a financial discount will not be given to any party.

DATED: May 9, 2006.

DIANE GAFFOGLIO, CCR, CVR-CM
Nationally Certified Merit Reporter
Certificate No. B-2372

NANCY LEE & ASSOCIATES

C E R T I F I C A T E

STATE OF GEORGIA)

COUNTY OF COBB)

I, DIANE GAFFOGLIO, being a Certified Court Reporter in and for the state of Georgia, do hereby certify that the foregoing transcript was reduced to typewriting by me personally or under my direct supervision and is a true, complete, and correct transcript of the aforesaid proceedings reported by me.

I further certify that I am not related to, employed by, counsel to, or attorney for any parties, attorneys, or counsel involved herein; nor am I financially interested in this matter.

This transcript is not deemed to be certified unless this certificate page is dated and signed by me.

WITNESS MY HAND AND OFFICIAL SEAL this 22nd day of May, 2006.

DIANE GAFFOGLIO, CCR, CVR-CM
Nationally Certified Merit Reporter
Certificate No. B-2372

NANCY LEE & ASSOCIATES