

**THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES**

**AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY**

convenes the

**THIRD MEETING**

**PEASE COMMUNITY ASSISTANCE**

**PANEL (CAP) MEETING**

May 30, 2017

The verbatim transcript of the  
Meeting of the Pease Community Assistance  
Panel held on May 30, 2017.

STEVEN RAY GREEN AND ASSOCIATES  
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

May 30, 2017

WELCOME AND INTRODUCTIONS DR. PATRICK BREYSSE	5
ACTION ITEMS FROM SEPTEMBER CAP MEETING CDR JAMIE MUTTER	10
FEASIBILITY ASSESSMENT DR. PATRICK BREYSSE, DR. FRANK BOVE, DR. BILL CIBULAS	12
QUESTIONS FROM THE AUDIENCE	115
NEW CAP MEMBER DISCUSSION	129
COURT REPORTER'S CERTIFICATE	135

### TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

**P A R T I C I P A N T S**

AMICO, ANDREA, CAP  
BOVE, FRANK, ATSDR  
BREYSSE, PATRICK, ATSDR  
CARIGNAN, COURTNEY, CAP TECHNICAL ADVISOR  
CARMICHAEL, LINDSEY, CAP  
CIBULAS, BILL, ATSDR  
CLAPP, RICHARD, CAP TECHNICAL ADVISOR  
CLARK, MARTHA FULLER, CAP  
CORY, JANINE, ATSDR  
DALTON, MICHELLE, CAP  
DAVIS, ALAYNA, CAP  
DIPENTIMA, RICH, CAP  
DURANT, JOHN, CAP TECHNICAL ADVISOR  
HARBESON, ROBERT, CAP  
MUTTER, JAMIE, ATSDR  
RUCKART, PERRI, ATSDR  
SCHAIDER, LAUREL, CAP TECHNICAL ADVISOR  
SHAHEEN, STEFANY, CAP  
SHEEHAN, JARED, CAP  
SOMERS, TARA, ATSDR  
STONE, TIM, CAP TECHNICAL ADVISOR  
SULLIVAN, MARK, CAP  
VETTER, SHELLEY, CAP



1 involved in a lot of community assistance groups, and I  
2 look forward to seeing how I can support this group.

3 CAPTAIN SOMERS: My name's Tarah Somers. I'm with  
4 ATSDR in our Region I Boston office.

5 COMMANDER MUTTER: Hi, I'm Jamie Mutter. I am the  
6 Pease CAP coordinator with ATSDR.

7 MR. DIPENTIMA: Rich DiPentima, member of the CAP  
8 from Portsmouth.

9 MS. AMICO: Andrea Amico, Portsmouth resident,  
10 founder of Testing for Pease, and a CAP member.

11 MS. DALTON: Michelle Dalton. I am a member of  
12 the CAP, and Testing for Pease. My son attended  
13 daycare on Pease Tradeport when he was young, and I  
14 also work on Pease.

15 MS. DAVIS: Alayna Davis, CAP member, obviously,  
16 local resident, and my son attended daycare, and also  
17 cofounder of Testing for Pease.

18 DR. DURANT: Hi, I'm John Durant. I'm an  
19 environmental engineer and I'm a professor at Tufts  
20 University and a member of the CAP.

21 DR. CLAPP: Dick Clapp. I'm an environmental  
22 epidemiologist and a member of the CAP.

23 DR. SCHAIER: I'm Laurel Schaider. I'm a  
24 research scientist at Silent Spring Institute in  
25 environmental engineering and environmental chemistry,

1 and technical advisor to the CAP.

2 MR. SULLIVAN: Hi. I'm Mark Sullivan, CAP member,  
3 and I own a business here at Pease Tradeport.

4 MR. SHEEHAN: Jared Sheehan. I do environmental  
5 compliance for the Pease Development Authority.

6 MR. HARBESON: Rob Harbeson. I'm a parent of kids  
7 who went to daycare. I'm the chair of the board of  
8 directors of Great Bay Kids' Company at Pease, and a  
9 member of the CAP.

10 DR. CARIGNAN: I'm Courtney Carignan. I am a  
11 researcher at the Harvard T.H. Chan School of Public  
12 Health, an environmental epidemiologist and a  
13 scientific advisor for the CAP.

14 MR. STONE: Tim Stone. I'm with Stone Home  
15 Environmental and an environmental scientist,  
16 hydrogeologist. And I have a business in Portsmouth.

17 MS. VETTER: And I'm Shelley Vetter, and I'm the  
18 owner of Discovery Child Enrichment Center that's  
19 located on the base.

20 DR. BREYSSE: Fantastic. So the agenda tonight is  
21 rather simple. We'll move on in a moment to the action  
22 items from the last meeting, but the majority of the  
23 time is scheduled in order to discuss the Feasibility  
24 Assessment report, the draft, that we've submitted to  
25 you all. And we call it a draft because, as we -- it's

1 the philosophy of ATSDR that, when we come into a  
2 community to do a study, we work with the community to  
3 do the study and make sure the community has input into  
4 what we determine is feasible and understands the  
5 rationale behind the decisions that are made about what  
6 can and can't be done.

7 It's all part of, I think, our commitment to  
8 working with communities. And so we will consider it a  
9 draft until such point as we get comments back from the  
10 CAP members. We will address those comments, and at  
11 that point it'll become a final Feasibility Assessment.  
12 But this represents our take on what we think is  
13 feasible.

14 Then we'll have some -- we'll take a short break,  
15 then there'll be some time for questions in the  
16 audience, and if we have time we'll talk about new CAP  
17 members and other CAP concerns before we adjourn. So  
18 any questions or concerns about the agenda? Great, so  
19 why don't we start with a review of action items from  
20 the September CAP meeting.

21 COMMANDER MUTTER: First, Pat, I think we have  
22 some ATSDR staff on the phone.

23 DR. BREYSSE: Okay.

24 COMMANDER MUTTER: That might want to introduce  
25 themselves. If you can hear me.

1 MS. RUCKART: Yeah. Perri Ruckart, ATSDR. Can  
2 you hear us?

3 DR. BREYSSE: Is there a volume button on that  
4 phone you can turn it up? It was Perri Ruckart.

5 MS. CORY: Hi, it's Janine Cory, also from ATSDR.

6 COMMANDER MUTTER: That's better. Thank you.  
7 Just a few housekeeping items before we start. As you  
8 can see, we have a microphone that's passing now. We  
9 wanted to do that in order to get the PA system so the  
10 community could hear what's being said around the  
11 table. So if we could do the same format of putting  
12 your tent up, name tent up, if you'd like to speak, and  
13 I'll be coming around with the microphone.

14 And then, let's see, also we also have a  
15 transcriptionist that's going to be recording this  
16 meeting, and so if you could say your name before you  
17 speak so he can record that in the transcript, that  
18 would be wonderful.

19 Let's see, bathrooms are out the door, down the  
20 hall, on the right. And emergency exits, there's one  
21 right here in this room, and then out the front door  
22 where you came in. So with that, let's go ahead and  
23 move forward with the action items from the  
24 September 7<sup>th</sup> CAP meeting.

25

1           **ACTION ITEMS FROM SEPTEMBER CAP MEETING**

2           MS. MUTTER: The first action item is for the U.S.  
3           Air Force, and the action item said: The CAP would  
4           like to know how many U.S. Air Force bases use AFFF.  
5           How many are closed, and if any have reopened as a  
6           business community? And the response was: ATSDR  
7           deferred this question to the U.S. Air Force, who  
8           provided the following response: AFFF is used to  
9           extinguish petroleum-based fires on DoD bases and  
10          commercial airports. We have jet fuel at almost all  
11          installations. The number of installations we are  
12          using AFFF is 180 which includes active Guard and  
13          Reserve.

14          Regarding closed bases, we have 40 closed  
15          locations, some are not bases. All of them are being  
16          re-used in various capacities.

17          The next action item was for ATSDR. Mr. DiPentima  
18          recommended the ATSDR add HDL and LDL cholesterol to  
19          the total cholesterol, to get ratios to see if there's  
20          any correlation, because they may have high HDLs or  
21          very low HDLs as well.

22          And the response: The studies proposed in the  
23          Feasibility Assessment plan to obtain measurements of  
24          total cholesterol, LDL, HDL and triglycerides.

25          The next action item is for ATSDR. Dr. Bove

1 suggested inquiring if NIOSH can tack on an assessment  
2 of exposure to AFFF in a future firefighter study, as  
3 they currently have a large cohort they are following.  
4 ATSDR can inquire if NIOSH would be interested in  
5 looking at AFFF.

6 The response is: Based on conversations with  
7 NIOSH researchers, they feel that AFFF exposure would  
8 be difficult to study in these cohorts primarily  
9 because the majority of the members of these cohorts  
10 were not exposed to AFFF, i.e., those in San Francisco  
11 and Chicago and probably a majority in Philadelphia as  
12 well. These are the three cities that were studied.

13 The last action item is for ATSDR. Captain Somers  
14 suggested asking Brian Goetz to give an update on the  
15 water treatment at a future meeting. And the response:  
16 Mr. Goetz gave a presentation to the Pease CAP on  
17 January 9, 2017.

18 And with that, the action items are finished, and  
19 we can move on to the Feasibility Assessment  
20 discussion.

21 DR. BREYSSE: Okay, do we have a new CAP member?

22 SENATOR CLARK: Yes. State Senator Martha Fuller  
23 Clark. I represent the City of Portsmouth and the  
24 following communities which are Durham, Lee, Madbury,  
25 Newington, Newfields and Newmarket.

1           **FEASIBILITY ASSESSMENT**

2           DR. BREYSSE: So I thought we'd begin by asking  
3           Dr. Bove to give an overview of the Feasibility  
4           Assessment. I know we've presented that to the CAP  
5           before, but there may be members of the audience who  
6           have not heard the overview, and we'll start with that.

7           DR. BOVE: Okay. So we've sent out to the CAP now  
8           the full Feasibility Assessment and a brief overview of  
9           the Feasibility Assessment that we sent a couple weeks,  
10          months ago, which has changed slightly, based on some  
11          changes that were made to the Feasibility Assessment.  
12          And then we also have comments from the Air Force and  
13          our responses. So you should all have that.

14          So the overview actually does do a pretty good  
15          job, I think, of summarizing what's in the Feasibility  
16          Assessment. The Feasibility Assessment we have a lot  
17          more detail about the sample, how we did sample size  
18          calculations. There's a whole appendix that goes  
19          through the literature that we are aware of, the  
20          epidemiologic literature. There's also material in the  
21          appendix that talks about some other sites where  
22          there's been also AFFF contamination of public water  
23          systems, and so we mentioned those in the appendix as  
24          well. So the Feasibility Assessment's huge, and, you  
25          know, I don't want to take up too much time going

1 through this 'cause I do want to hear from you any  
2 questions, and also comments and suggestions and so on,  
3 on the Feasibility Assessment.

4 But anyway, the Feasibility Assessment reviewed  
5 what we know about the situation here, the water  
6 contamination, the use of the three supply wells, the  
7 production of those supply wells, to gather a sense of  
8 what might have been at the tap, because there weren't  
9 any measurements done before the Haven well was closed  
10 at the tap. So we went through that information, also  
11 the information from the Pease blood testing program in  
12 2015 as well, to get an assessment of the kinds of  
13 exposures, the levels of exposures that occurred.

14 And we also looked at the literature on PFAS, to  
15 see in particular whether the two chemicals that  
16 were -- the key chemicals in the drinking water. Those  
17 are PFOS, which I can't remember how -- what it stands  
18 for, but I can look it up, I guess. It actually -- let  
19 me see if I have it here. It's perfluorooctane  
20 sulfonate. And PFHxS, which is perfluorohexane  
21 sulfonate. That's the chemical names. To see what the  
22 literature looked like for those two chemicals in  
23 particular.

24 And the literature has a lot of information on  
25 PFOA, which is perfluorooctanoic acid, because of a lot

1 of research that was done in West Virginia and Ohio.  
2 They call it the C8 Studies. And that was the key  
3 contaminant in those studies. So there's a lot more  
4 information on PFOA.

5 For PFOS there's less information. There are  
6 studies done in other countries. There are studies  
7 that have used what's called the NHANES data; it's a  
8 national survey. And there have been studies in other  
9 parts of the U.S. But for the most part PFOS has been  
10 studied less than PFOA, and PFHxS has been studied even  
11 less. So the -- that's basically what the literature  
12 review found.

13 We also did the literature review to get a sense  
14 of what has been studied, so that we could then make a  
15 proposal of studying -- following up this research,  
16 because it's still at an early stage in terms of the  
17 human studies. So that was a good portion of the  
18 Feasibility Assessment.

19 And we had three criteria that we used. One was  
20 we wanted to have a -- if we wanted to do a study, if  
21 it was going to be feasible, it should provide  
22 meaningful and credible results. And the key there is  
23 that it would have sufficient validity, it wouldn't  
24 have biases, but also it would have sufficient  
25 precision. That means having a large enough sample

1 size so that we can measure any excess with some kind  
2 of precision, so that there wouldn't be a lot of  
3 uncertainty in those risk estimates, for example. So  
4 that was the first criteria.

5 The second one was scientific importance. We  
6 wanted to make sure that whatever we did would further  
7 the science and knowledge about the health effects of  
8 these chemicals.

9 And the third is public health significance. And  
10 here it was -- if you wanted to base interventions in  
11 the future, you want to have a sound basis for that,  
12 and we'd hope the study would help provide that basis,  
13 and also be useful for other communities that are  
14 exposed to similar chemicals, similar situations.

15 And then all three sort of combine with the idea  
16 of trying to be able to answer the communities'  
17 concerns and questions about what might have happened,  
18 based on this exposure, what kind of health effects  
19 they might have had.

20 So that's -- so in reviewing the literature,  
21 reviewing the situation at Pease, we felt that all  
22 three criteria were met at least for some health  
23 endpoints, that there was enough sample size, enough  
24 people exposed, that probably could be recruited, that  
25 some health endpoints could be looked at with pretty

1 good precision and with good validity.

2 So we proposed two studies, both cross-sectional  
3 studies, which will give us at least a baseline of what  
4 kinds of effects have happened, and could be a basis  
5 for a longitudinal work follow-up in the future.

6 But we focus first on the cross-sectional studies.  
7 And the first one was a children's study, and we  
8 thought that we could probably recruit about 350  
9 exposed children, but that was sort of a minimum. We  
10 want to try to get at least that many. And we also  
11 have a group of unexposed children that were similar to  
12 the exposed children, except they didn't have any  
13 exposure to the contaminated drinking water. So we  
14 came up with 350 exposed, 175 unexposed, just to -- for  
15 starters. We thought that that was feasible to  
16 recruit. And we did a number of sample size  
17 calculations, which is all in the larger document.

18 And based on those sample size calculations --  
19 again, we identified a whole list of health endpoints  
20 from the literature review that were worth following  
21 up. And then we did the sample size calculations to  
22 determine which ones made sense to do with the kind of  
23 population we could recruit, which ones we might be  
24 able to look at but there would probably be some  
25 problems with uncertainty, wider confidence intervals,

1 if you will. And then those endpoints that are  
2 probably not feasible because you just needed larger  
3 populations to study them, okay? So we had those three  
4 different categories.

5 And so for the children's study with 350 exposed  
6 children, we were looking at an age range when we do  
7 this study of those who would be between the ages of  
8 four and 16. In the earlier version of the overview I  
9 think it was five and 15. We expanded it to four and  
10 16, to be a little bit more -- to be more similar to  
11 some of the studies that have been done, and also it  
12 fit the range of a particular neural behavioral test  
13 we've been looking at as well, so we expanded it that  
14 way. And by expanding it that way we might be able to  
15 get even more than 350 exposed children. We might be  
16 able to get up as many as 500, we thought.

17 So we did sample size calculations, a situation  
18 where there would be 500 exposed children and 250  
19 unexposed children as well, just to see what that would  
20 look like and what other endpoints, then, would be more  
21 feasible. So we did those calculations, and we have a  
22 list in the overview of the endpoints that are feasible  
23 with just 350 exposed and 175 unexposed children. And  
24 those were looking at lipids, cholesterol, okay,  
25 looking at measure of kidney function. It's called the

1           estimated glomerular filtration rate. That was  
2           feasible. To look at a growth hormone deficiency, that  
3           was also looked at in the Ohio and West Virginia  
4           studies. And to look at overweight and obesity, which  
5           is looked at, I think, in an NHANES study, that we  
6           could look at here.

7           Then there was a second group where we might  
8           need -- we probably would need larger than 350 exposed  
9           children and 175 unexposed. And we possibly could look  
10          at it if we got up to 500 exposed and 250 unexposed.  
11          And those were involved with uric acid, which is  
12          another way of looking at kidney function, to some  
13          extent; elevated cholesterol; looking at neuro-  
14          behavioral endpoints, such as IQ, and some of the  
15          elements or symptoms of AD -- of attention deficit-  
16          hyperactivity disorder, although not necessarily the  
17          disorder itself but some of the characteristics or  
18          deficiencies that ADHD children have; thyroid function  
19          was -- we could look at as well, if we got up to at  
20          least 500; sex hormones, which were looked at in one --  
21          in a few studies, particularly in West Virginia and  
22          Ohio studies. And then a couple of endpoints to look  
23          at immune function, such as asthma and atopic  
24          dermatitis. And then to -- it may be possible,  
25          although we'd probably need more than 500, to look at

1 vaccines, antibody response to vaccines. But that was  
2 a little bit more questionable whether we'd have enough  
3 to do that.

4 And then there were those endpoints in the  
5 children's study that we couldn't look at very well.  
6 Looking at ADHD itself would've been difficult -- could  
7 be difficult. Autism spectrum disorder would be very  
8 difficult. Some of the other ones that have been  
9 looked at, for example delayed puberty would be  
10 difficult. Thyroid disease itself would be -- you  
11 could look at thyroid function but thyroid disease is  
12 kind of rare in children, so that would be difficult.  
13 And childhood cancers would be very difficult because  
14 they're not -- they're rare.

15 So that's the children's study. Now, for the  
16 adult study we thought, and this would be adults aged  
17 18 and over; that would be the age range there. We  
18 were thinking that it might be possible to recruit  
19 1,500 exposed adults and a similar number of unexposed  
20 adults. So we went with that as our basis, and did the  
21 sample size calculations on that. Again, we'll -- we  
22 don't know how many we really could recruit, but there  
23 were a sizable number of adults who participated in the  
24 Pease blood testing program, and so we thought that we  
25 could do a little bit better than that possibly, and

1           that's where the 1,500 came from.

2           So based on that, if we got 1,500 exposed and  
3           1,500 unexposed adults, there were quite a number of  
4           endpoints that were feasible, including lipids again,  
5           uric acid, thyroid disease, if we just went on reported  
6           thyroid disease and not confirmed them with medical  
7           records.

8           One of the studies that were done in Ohio and West  
9           Virginia looked at self-reported thyroid disease  
10          without confirming them, and then looked at it with  
11          confirmation, and it makes a difference. If you try to  
12          confirm it, you cut the number of disease in half  
13          practically, in that study anyway. So, so if you  
14          confirm it with medical records it may be more  
15          difficult to study.

16          Cardiovascular disease, hypertension,  
17          osteoarthritis and osteoporosis, and looking at some of  
18          the immune function parameters. They were all feasible  
19          with 1,500, we thought.

20          Those that we thought might be possible but it'd  
21          be better if there was a larger sample size include  
22          liver function, thyroid function, thyroid disease  
23          confirmed by medical records, endometriosis and  
24          pregnancy-induced hypertension.

25          And then finally the ones we thought were -- would

1           require a lot more than we could probably recruit at  
2           Pease, but there are, as I said, other sites that have  
3           similar exposures to AFFF through drinking water  
4           contamination, and if we could link studies together  
5           then we could look at some of these. These include  
6           liver disease, kidney disease, ulcerative colitis,  
7           rheumatoid arthritis, lupus, MS and possibly kidney  
8           cancer. But again, these would be difficult to  
9           impossible to evaluate just using the Pease population.

10           We also put forward an idea of looking at former  
11           military service and civilian workers. We have looked  
12           at a similar population at Camp Lejeune. The exposure  
13           there was trichlorethylene in drinking water and  
14           perchloroethylene, so it's a different situation, but  
15           we have done studies there looking at the health  
16           effects of these chemicals in the drinking water, and  
17           mortality and birth outcomes and so on. So we thought  
18           we could possibly look at Pease Air Force Base and some  
19           other military bases combined, and look at, at least,  
20           causes of death and cancers, like we're doing at Camp  
21           Lejeune. So we put that forward but we basically said  
22           it would be not impossible, but it really wouldn't be  
23           that feasible to just do the study at Pease, but we'd  
24           have to combine it with other military bases with  
25           similar exposures and similar contamination.

1           So that was basically what we thought was  
2           feasible, what was not so feasible and so on. And that  
3           was the gist of the Feasibility Assessment. So I think  
4           what I'd like to do is open it up for questions and  
5           comments from the audience here, from the CAP.

6           DR. BREYSSE: If you can make it just -- lift your  
7           tent up and we'll bring the microphone to you, if you  
8           want.

9           DR. CLAPP: Yeah, this is Dick Clapp, and the  
10          question I have is what about other bases, or the  
11          Pennsylvania bases, for example? Is there still  
12          ongoing discussion about a combined study with Pease  
13          and, whatever it is, Warminster, and the other one?

14          DR. BOVE: Yeah, it's Warminster and Willow Grove  
15          are the bases, and the towns are Warrington, Warminster  
16          and Horsham. And there was contamination at these  
17          bases in the past. One of the things about these bases  
18          and also Pease is that there also was trichloroethylene  
19          contamination in the past, not as bad as Camp Lejeune,  
20          but still there was that to keep in mind if studies  
21          were done at bases. I'm sure if we looked at other  
22          bases we'd have some similar problems as well, with  
23          other contaminants possibly in the drinking water in  
24          the past.

25          But this had to focus on Pease, and that was the

1 charge. So we haven't really developed an assessment  
2 of those sites. We have some sense of the situation  
3 there. We have some information in the appendix about  
4 that. Some of that also needs to be validated by the  
5 water companies themselves in those three towns. So  
6 we -- you know, this is still a draft, so we did work  
7 with those water companies and put that information in  
8 the appendix, but again, the water companies probably  
9 will want to review that and will probably make some  
10 comments. But that's as far as we've gone so far.

11 DR. BREYSSE: If I could add to that. We  
12 recognize that this is a national-scale problem, and  
13 we're interacting with dozens of communities directly,  
14 as we speak, and a number of other communities  
15 indirectly through our cooperative group of partners,  
16 and through just normal interactions we have with state  
17 environmental health directors. And so we recognize  
18 it's a national problem.

19 And really, to address the health concerns, we  
20 recognize adequately, across all these different  
21 concerns with different study designs for different  
22 types of endpoints, it's going to require a national  
23 commitment to this. And we're -- at ATSDR we're  
24 committed to scoping that out and exploring resources  
25 to do a national study. But this was a -- the

1 Feasibility Assessment was ordered by the Air Force  
2 specifically to look at what could be done here at  
3 Pease.

4 DR. BOVE: Also, I think one other thing about the  
5 Philadelphia sites, some of the water systems there are  
6 much more complex than here. Here the water was  
7 blended from the three wells, and so you could get a  
8 good sense of what the contamination was at the tap  
9 there. There are pockets that received high levels of  
10 the contaminants. There are other pockets that didn't.  
11 There was water being brought in from the outside so  
12 that -- you have to know the water system very well,  
13 especially, I think, Warminster in particular, but all  
14 three of them had some complexity to them. It was more  
15 like Woburn or some of these other places where you  
16 have to know which wells serve which areas of a town.  
17 So it's not as easy to get a sense of the situation  
18 there as it is at Pease.

19 MS. AMICO: Hi, this is Andrea Amico. So I guess  
20 the -- I think the biggest point I want to drive home -  
21 - and thank you so much for putting this together and  
22 giving us these opportunities, but I think a cross-  
23 sectional study is not what the community wants, and my  
24 understanding of the cross-sectional is that you would  
25 test these endpoints just one time and look for

1 something, and if we don't find anything, then what's  
2 the plan after that? I think really what we're looking  
3 for is longitudinal, and I think one of the biggest  
4 questions in the community that has been brought  
5 forward from day one is how has this exposure affected  
6 my health or my children's health over time.

7 So if we just do a cross-sectional study we're  
8 getting one snapshot in time, so if the study gets up  
9 and running in a few years, we draw blood on 350 kids  
10 and we don't really find anything significant, does  
11 that mean we just walk away and say there was no  
12 problem? You know, I think that doesn't leave me  
13 feeling very comfortable, so I think that would be the  
14 most important message I want to send tonight, is that  
15 we need something more long-term, and we need people  
16 monitored over time, not just once.

17 The other thing I want to say in terms of a  
18 national study, I do understand the scope of the work  
19 here is Pease, but it's very obvious by the things that  
20 you have spelled out that we need these other  
21 communities to give our studies more power,  
22 particularly if we're looking at things like cancer and  
23 endpoints that are concerning to our community. So I'm  
24 grateful that there are things that we can do just  
25 here, and I'm happy for that, but I do not want to lose

1 sight of a bigger picture, that we need these other  
2 communities and that they should be part of this  
3 process too.

4 I know, for Testing for Pease, we have contacts at  
5 many of these other communities. They're absolutely  
6 wanting to be part of this work. They want to be part  
7 of a national study. They want -- they have the same  
8 questions as we do, so I think we need to be  
9 approaching this at a national level as well.

10 And I mean, I have so many notes, I don't want to  
11 monopolize the time here, but I guess a question more  
12 about a detail, when you talk about the endpoints and  
13 the different health effects that we would look for as  
14 part of the study, would somebody be conducting a  
15 health history and seeing if there were maybe certain  
16 endpoints that we weren't testing for but we would  
17 recognize a common thread?

18 DR. BOVE: Well, we would put together a  
19 questionnaire that would ask for a complete medical  
20 history.

21 MS. AMICO: And how -- and like you had said  
22 sometimes there's self-reporting, and then there's  
23 actually looking at medical records. So would somebody  
24 be -- would you be obtaining medical records on  
25 everybody participating or would it just be by self-

1 reporting in a questionnaire?

2 DR. BOVE: We would ask, as part of the consent  
3 process, that we could have access to the medical  
4 records, and also school records because we want to  
5 look at neurobehavioral issues.

6 MS. AMICO: Okay.

7 DR. BOVE: Learning disabilities, ADHD, for  
8 example.

9 MS. AMICO: My other question was in terms of an  
10 adult --

11 DR. BOVE: But one other thing, a lot of this  
12 stuff would be in a protocol, so we do go into some of  
13 this in the Feasibility Assessment, but it isn't a  
14 protocol so we would develop a lot of this as part of  
15 our protocol.

16 MS. AMICO: Okay. In terms of an adult study, we  
17 have -- there is a daycare that's been open for over 20  
18 years now, so we have some folks that were part of the  
19 blood testing that were kids 20 years ago or 15 years  
20 ago. How would they fall into this study if they were  
21 exposed as kids in daycare 15 years ago, and now they  
22 have their blood tested? How would you account for  
23 that in the study? Would they fall under the adult  
24 study or -- they obviously wouldn't age into the kids'  
25 study.

1 DR. BOVE: Well, we did put a period of time where  
2 you could be eligible for the adult study, and that was  
3 based on how long PFHxS, for example, is resident in  
4 the body. How -- the half-life, for example. So the  
5 half-life's about eight and a half years, based on at  
6 least one study. And so we figured that we wanted  
7 to -- the range we thought was 2008 onward, up until  
8 the time the Haven well was shut down, that that would  
9 be -- if you were at Pease at that period of time, then  
10 you would be eligible for the adult study.

11 DR. BREYSSE: Whether you were there as an adult  
12 or a child, as long as you're an adult now.

13 DR. BOVE: Yeah, because you have to be over 18 at  
14 the time of the study, right? And you had to be at  
15 Pease during that period, between January of 2008 and  
16 May of 2014, when they shut the Haven well down. Now,  
17 these are arbitrary. You know, we can go back in time,  
18 further back, given that there is a long half-life for  
19 PFHxS. We just -- we're concerned that if you do blood  
20 testing, and the exposures were so far in the past,  
21 that we're not sure what the blood testing would tell  
22 us very well at that point, so that was the  
23 consideration there.

24 MS. AMICO: Okay.

25 DR. BOVE: But again, you know, that's open for

1 discussion. This is not written in stone. This was  
2 based on hoping to be -- if we did this study, that it  
3 would be on the ground sometime next year or certainly  
4 by the year after that, and how far then these  
5 exposures were, if we start it then.

6 MS. AMICO: So I have two more questions. The  
7 last question is -- or the second to last question is:  
8 What are the action items? And is this typical that  
9 you would see in a Feasibility Assessment that we do do  
10 a study, and we do find that there is adverse health  
11 effects in this community or there's something that we  
12 find in the study? What are the action steps that are  
13 taken? Is that addressed in a Feasibility Assessment  
14 or a study? Like what would then happen?

15 DR. BOVE: No, but that's a good question. You  
16 know, I'm thinking what happened in the C8 studies,  
17 where they had medical monitoring, based on some of the  
18 results of those studies.

19 By the way, the C8 study had a longitudinal  
20 component to it, but a lot of it was not funded and it  
21 hasn't been completed. So it's difficult to do a  
22 longitudinal study, even though it's very important to  
23 do that; we agree with you. But the funding issue is  
24 always a problem, even with the cross-sectional study,  
25 but in a longitudinal one it's even worse. But there

1           should be follow-up actions based on the study results,  
2           yes.

3           MS. AMICO: And is that typically spelled out  
4           before a study starts?

5           DR. BOVE: No. I don't think so. Anyone else?  
6           By the way, other people can -- if they don't like my  
7           answer or want to add to it, or whatever, speak up, by  
8           the way, if you can; we'll take the mic around, but not  
9           in my experience; I'll put it that way.

10          MS. AMICO: Okay. And so I guess my last question  
11          would be where do we stand on the status of funding for  
12          the study?

13          DR. BREYSSE: So we submitted a request to the Air  
14          Force to our annual plan of work funding, and maybe  
15          Colonel Costantino can comment on that.

16          COLONEL COSTANTINO: Sure. So, Colonel Joe  
17          Costantino from the Office of Deputy Assistant  
18          Secretary of the Air Force. So we did receive a  
19          request for the study, and our team. You know, we're  
20          kind of -- this is kind of new to us as well, because  
21          at most of our bases, when the community has health  
22          questions, we ask them to come in and answer the  
23          questions, like they're doing here, but we typically  
24          don't go this far because we know what the public  
25          health actions are. So the contaminants that we have

1 concerns about, the effects are known.

2 So we're kind of working our way through this  
3 process as well, and when the request came in for the  
4 study our legal team looked at it and said we don't  
5 have authority to enter into this type of funding  
6 arrangement because we don't have authority in this  
7 area, so we can't fund the study that's being discussed  
8 here.

9 MS. AMICO: All right, well, I'd like to comment  
10 on that.

11 COLONEL COSTANTINO: Sure.

12 DR. BREYSSE: This one microphone's going to be  
13 fun.

14 MS. AMICO: So if I understand you correctly, the  
15 Air Force is saying that they cannot fund a study for  
16 the Pease community.

17 COLONEL COSTANTINO: Correct.

18 MS. AMICO: Okay. I think that's terribly  
19 disappointing, and I think that the fact that we have  
20 gone through this whole process, you know, with the  
21 ATSDR for a year -- our contamination was discovered  
22 three years ago, and I think, to stand up and say that  
23 you wouldn't fund a study, why did the Air Force direct  
24 us to ATSDR and direct us to go through this process,  
25 to have us put all of this time and energy and hope

1           into a health study to give our families some answers,  
2           and then for you to stand up and say that the Air Force  
3           won't fund the study is terribly disappointing, and  
4           frankly unacceptable. So I -- is there any more detail  
5           that you can give us as to why you would not fund a  
6           study?

7           COLONEL COSTANTINO: So we did a couple years ago,  
8           if we back up a little bit, go back to the blood  
9           testing, a couple years ago. The community and the  
10          State asked us to pay for the blood testing or do the  
11          blood testing, and it's really the same question. We  
12          don't have the authority to go into a community and do  
13          that kind of work, and without authority there's no  
14          funding.

15          So what we told you two years ago was we're not  
16          the community health experts for environmental  
17          contamination. We have a federal partner who is. And  
18          so what's playing out here happens at every  
19          installation, right? And you were asking us the health  
20          questions, and we said, look, we're, we're the  
21          Department of Defense; that's not our area. But we  
22          have an agency that can answer all your questions for  
23          you. So we absolutely seeked [sic] out their  
24          involvement here to address your questions. So where  
25          this was going to go, we had no idea, quite honestly.

1           So when the request came in -- it's not an Air  
2 Force request; it's a Department of Defense request.  
3 And so the legal team for our Deputy Assistant  
4 Secretary of Defense for Environmental said we -- there  
5 are certain things we can pay for; Feasibility  
6 Assessment is one of those. We can pay for public  
7 health assessments, public health consultations, which  
8 are being done here. There's one on-base and one  
9 off-base.

10           So there's a line that's drawn on what we can do,  
11 and paying for a study to do a community health  
12 research is just something we can't do, and that was a  
13 legal review by our Secretary of Defense team, and it's  
14 been briefed to members of Congress and their staff  
15 since we kind of got to that point in the process.  
16 Again, this is new to us as well. We didn't know two  
17 years ago we would say we can only go this far. We  
18 didn't know that.

19           MS. AMICO: I'll let other people comment.

20           MS. DAVIS: Hi. I'm Alayna Davis. I have a  
21 question for you. So you might not want to sit down.  
22 So my question is, if you're saying that you are not  
23 going to fund a study, then why did you give feedback  
24 to ATSDR on the Feasibility Assessment?

25           COLONEL COSTANTINO: That's a great question.

1 MS. DAVIS: Because you shouldn't have given  
2 feedback in the first place, and if you're not going to  
3 fund a study, then you shouldn't have any input at all.

4 COLONEL COSTANTINO: Okay. So you highlight  
5 exactly the point I'm trying to make, which is we --  
6 because we could pay for the Feasibility Assessment, we  
7 did. But because we paid for it we have obligation for  
8 spending taxpayer dollars that we have to review it and  
9 provide oversight and management of that Feasibility  
10 Assessment.

11 To do this study correctly, like all of you are  
12 talking about, it should be a national study and it  
13 should be sites other than Department of Defense  
14 because there's many other exposures out there. You  
15 don't want the Department of Defense in the middle of  
16 that process, right? That's exactly what we're saying  
17 is, we should not be in the middle of the community and  
18 ATSDR, and saying -- having any input to what a health  
19 study should or shouldn't be. That's not our role  
20 here. That's exactly the point.

21 MS. DAVIS: Then why did you give feedback on the  
22 Feasibility Assessment?

23 COLONEL COSTANTINO: We paid for the Feasibility  
24 Assessment, like Dr. Breyse said. So we have to -- we  
25 have an obligation, everything we pay for, right? We

1           have an agreement with them. So when we transfer  
2           money, we have an obligation to review what is being  
3           done. That's a taxpayer responsibility, right? That's  
4           my responsibility of spending government funds. So  
5           that's an agreement and a relationship that we have  
6           with them. Is that, I guess --

7           MS. AMICO: No.

8           MS. DAVIS: No. It doesn't really make sense.  
9           Can you guys give us anything?

10          DR. BREYSSE: So give you a little background  
11          first, and the challenges that I face is, ATSDR, I  
12          think, is a gem of an agency that has never quite  
13          reached its true potential due to limitations in  
14          resources. So for example, the money we have this year  
15          is about half of the real spending dollars in what we  
16          had in 1999. So because our funding has been flat,  
17          relatively flat, over many, many years, with inflation  
18          and stuff our resources are half of what they used to  
19          be.

20          And now in the world we live in there are new  
21          challenges come up all the time. The old challenges  
22          never go away, the new challenges come up, so we're  
23          trying to do more and more and more every year. So two  
24          years ago or three years ago this was a -- just a blip  
25          on the horizon. Today, you know, we're over our heads

1 in PFOA/PFOS issues across the country, just as an  
2 example.

3 So we're struggling with how to meet our mandate,  
4 and our mandate -- Colonel Costantino is right, our  
5 mandate is to address exposures and make sure the  
6 appropriate public health actions are taken and then to  
7 address community health concerns. So that second part  
8 of our mandate is what we're struggling with tonight.

9 And so I'm not here to tell you we know how we're  
10 going to fund this. Our first thought was we turn to  
11 the Department of Defense. We're exploring every  
12 opportunity we can. Everybody I talk to about this --  
13 it's a national issue. I raise it, the need for  
14 resources for ATSDR to address this. I talk about it  
15 endlessly. You know, the picture I try to build is  
16 what we want to do is exactly like you said: We want  
17 to establish multiple sites that we look at, and we'd  
18 build a cohort large enough for the cross-sectional  
19 sites, but there's still some local relevance to the  
20 sites that we look at independently as well.

21 And in a national study we're also, just to be  
22 clear, we are talking about, you know, longitudinal  
23 efforts, we're talking about cross-sectional efforts,  
24 we're talking about retrospective efforts looking at  
25 cancer, so we are exploring all sorts of designs to

1 address all these endpoints 'cause they're not going to  
2 take one study. And so we know all that has to be  
3 done.

4 So I can't tell you tonight that we know how to  
5 fund this, but we are not giving up and we're not  
6 walking away, and we're exploring every opportunity we  
7 can, and we will work with you if you have any ideas or  
8 suggestions as well. But we are limited in terms of  
9 the resources I have on hand right now, that we  
10 couldn't afford to do this -- to do the adult study and  
11 the children's study with a smaller sample size. It's  
12 going to be somewhere between, you know, ten and  
13 \$15 million to do the cross-sectional studies. And I'm  
14 not good at numbers but ATSDR's annual budget is what?  
15 \$74 million. So I'd have to make, you know, 12 million  
16 of our \$74 million just to do this, and sacrifice  
17 everything else that we're struggling to do as well.  
18 So we just -- I don't see how we can do it on our  
19 existing funding. While we have the authority, we  
20 don't have the resources. So that's the challenge we  
21 have right now, but I'm not giving up. And we're  
22 pledged to work with you and explore every avenue we  
23 possibly can to get resources to get this study  
24 actually in the field.

25 MS. SHAHEEN: Thank you so much. I'm Stefany

1 Shaheen. I'm a member of the CAP.

2 DR. BREYSSE: I didn't see you come in.

3 MS. SHAHEEN: Sorry to sneak in. A few questions.  
4 One, the ten to 15 million number you quoted is for a  
5 national study or specific to Portsmouth?

6 DR. BREYSSE: Portsmouth. Just a cross-  
7 sectional -- two cross-sectional components, children  
8 and adult.

9 MS. SHAHEEN: That's what I thought. And I  
10 just -- I'm curious with -- from the Air Force, if  
11 there is precedent in the Air Force covering other  
12 health studies that were specific to a particular  
13 community, like Camp Lejeune.

14 COLONEL COSTANTINO: So again, for the Air Force,  
15 no precedent. This is a first. This is a first for  
16 us. So we -- and that's why I said, as we work through  
17 this process as well and make progress, every step  
18 along the way our team received direction from  
19 Department Of Defense when there's questions, and this  
20 was the question that came up, is what could we fund,  
21 and that legal team said we could not continue on with  
22 this.

23 MS. SHAHEEN: But I am correct in that there is  
24 prior precedent of other branches of the Armed Services  
25 paying for a study that's been administered by ATSDR,

1 looking at the overall health effects of other  
2 contaminants on a population of people, right? Is that  
3 correct?

4 DR. BREYSSE: Yes.

5 MS. SHAHEEN: Okay. So it's perplexing and I  
6 think worthy of further advocacy on behalf of this  
7 group and on behalf of our Congressional delegation and  
8 our governor to better understand the legal  
9 determination for why now, all of a sudden, in this  
10 particular community, at this particular moment in  
11 time, it's not appropriate for the Air Force to  
12 reimburse for a health effects study that is trying to  
13 assess the long-term health implications of  
14 contamination that was caused by the Air Force.

15 So that's -- I pledge to do that. I hope we as a  
16 CAP agency will do that. I'm not willing to take -- I  
17 mean, I appreciate the Colonel's report back. I  
18 understand that you're the messenger here, but I don't  
19 think we collectively can afford to take that as final  
20 word on this matter, because there is precedent of  
21 other branches of the Armed Services paying for this --  
22 studies of this nature.

23 I don't think it's ATSDR's responsibility to come  
24 up with the funds to cover this study. I don't think  
25 anybody around this table would suggest that's the

1 case. Certainly just the size of the budget versus the  
2 cost of the study would suggest it's impossible.

3 I think our collective concern, when we started  
4 this process -- and many of us have been here from the  
5 very beginning, and we understand this is unprecedented  
6 and we're in uncharted territory, all of us, relative  
7 to this particular set of contaminants. We were hoping  
8 to get answers for the community. And we, I think, as  
9 a community understand that these answers may be a long  
10 way away. We recognize, and I think we need to  
11 continue to do work on the important role that ongoing  
12 monitoring, whether families who have been exposed are  
13 part of the health study or not, can play and what  
14 should families be looking for if their kids have been  
15 exposed or they themselves have been exposed. So  
16 that's work we can be doing in parallel. But we  
17 collectively committed to the community that these  
18 studies were going to happen. This is an issue of  
19 emerging concern. These are contaminants people really  
20 don't even begin to understand the full scope of long-  
21 term health effects, and something positive has to come  
22 out of this. And I think we all want to work to ensure  
23 that that happens. And I challenge all of us, in light  
24 of the fact that there is precedent with other branches  
25 of the Armed Services paying for similar studies in

1 other communities, to assume we're going to find a way  
2 to make that happen and we're going to advocate for it  
3 to be so.

4 SENATOR FULLER CLARK: Senator Martha Fuller  
5 Clark. From the comments that you made you said you  
6 don't have the authority to move forward. What needs  
7 to change to give you that authority?

8 COLONEL COSTANTINO: So if the basic question is  
9 what federal agency has the authority to do this type  
10 of community health research, there are no changes  
11 needed because they are the agency who does this work.  
12 So we -- we've been asked that question already, and  
13 there's no change that we are requesting for Department  
14 of Defense. We weren't seeking any changes to  
15 authorities.

16 MS. SHAHEEN: That's not the question.

17 COLONEL COSTANTINO: There's no authority --

18 MS. SHAHEEN: What would you need to have --

19 COLONEL COSTANTINO: Someone would have to change  
20 the law, is my understanding, 'cause our legal team is  
21 saying we don't have the authority, as they read the  
22 EPA's law, CERCLA and DoD policy, they said no. So --

23 MS. SHAHEEN: So how is the law different today  
24 from where it was when the Camp Lejeune study was --

25 COLONEL COSTANTINO: Right, I understand your

1 question. The same office controls the answers to all  
2 the services.

3 MS. SHAHEEN: Right.

4 COLONEL COSTANTINO: Right. So it's a good --

5 MS. SHAHEEN: But that's the question. Right. So  
6 until that can be answered I don't think we can take it  
7 face value that the Air Force can't fund the study.

8 COLONEL COSTANTINO: Right.

9 MS. SHAHEEN: 'Cause the department --

10 COLONEL COSTANTINO: So I want to be clear, the  
11 department -- this is a Department of Defense answer.

12 MS. SHAHEEN: Right.

13 COLONEL COSTANTINO: So.

14 MS. SHAHEEN: Thank you.

15 MS. DALTON: Hi, this is Michelle Dalton. I just  
16 wanted to comment and ask you a question on your prior  
17 comment about having the national study and not wanting  
18 the Department of Defense in that national study.

19 COLONEL COSTANTINO: So we're okay with being  
20 included in that study. So we -- we're not saying we  
21 don't want to be a part of that study, 'cause certainly  
22 we have sites. There are many non-DoD sites. My  
23 comment is the position is, if there is a national  
24 health study, it should include other than DoD sites  
25 because there are other sites out there. There were 64

1 community water systems based on EPA's UCMR drinking  
2 water testing that were above the lifetime health  
3 advisory, right? We only had a couple of those, I  
4 think one Air Force and maybe a couple more DoD. So  
5 what we're saying is, to answer the question fully for  
6 everyone to benefit, if you focus solely on DoD you're  
7 missing a big portion of exposed population and  
8 potentially other health effects. That's all I was  
9 saying.

10 MS. DALTON: Okay.

11 COLONEL COSTANTINO: We certainly have data to  
12 share and information.

13 MS. DALTON: Oh, I'm not finished. Thank you.  
14 And so I just wanted to bring it back to Pease  
15 specifically, and the Air Force has claimed  
16 responsibility for the contamination on Pease, so why  
17 would the Air Force or the DoD then say that they can't  
18 claim it? If they took responsibility for the  
19 contamination why can't they fund the studies?

20 COLONEL COSTANTINO: So what's very clear is our  
21 environmental responsibility, which is the information,  
22 the briefings that you get at the Restoration Advisory  
23 Board, right, and the focus there, and we talked about  
24 this a couple years ago, was to make sure those  
25 exposures were mitigated and any appropriate clean-up

1 actions were taken. So that's very clear. That's very  
2 clear to us; we have that role, responsibility, and we  
3 have very dedicated funding to exactly do those things,  
4 and it can be used for nothing else. That's called our  
5 DERA funds.

6 But what we don't have -- and it tells us to what  
7 extent we can involve and engage ATSDR, but it's only  
8 up to a point, and that's what our reading was back  
9 from our team, was you can go up to this point but when  
10 it gets into the community and taking blood and looking  
11 at health records, that we could not fund that piece of  
12 it.

13 MR. DIPENTIMA: I'm Rich DiPentima. I guess I'm a  
14 little confused which is easy to do. You said the Air  
15 Force legal team -- the DoD legal team said you are not  
16 authorized to conduct studies. Is that the basic --

17 COLONEL COSTANTINO: Community.

18 MR. DIPENTIMA: In community.

19 COLONEL COSTANTINO: The community.

20 MR. DIPENTIMA: So conducting the studies is the  
21 word I want to focus on. It's ATSDR who would actually  
22 be conducting the studies and doing all the work in  
23 terms of getting the review board approvals, doing all  
24 the work to get reviews of records and medical --  
25 dah-dah-dah-dah-dah. The only piece the DoD would be

1           involved in is writing a check to ATSDR to do all the  
2           work. So I don't really understand the legal issues  
3           here. The Air Force is not conducting any studies at  
4           all. You're contracting, like you contract with many  
5           people to do many things that you don't have authority  
6           to do yourselves. You're contracting with another  
7           federal agency and just writing them a check to do the  
8           work that you're not particularly legally authorized to  
9           do. So I don't understand the legal distinction here.

10           COLONEL COSTANTINO: I probably can't do a much  
11           better job than what I've communicated already, except  
12           with authority comes funding. That's what we're  
13           talking about here. So the federal agency that does  
14           the work has the authority and therefore can request  
15           the money to do those things, right? We fly planes; we  
16           can ask Congress for money to do that. Congress has  
17           very specifically endowed them to do these community  
18           health studies, and with authorities comes funding. It  
19           goes hand-in-hand. That's the way we're -- that was  
20           the assessment that came out, is without authority  
21           there is no funding. And so I'm probably repeating  
22           myself here. I can't give you much more depth than  
23           probably what I'm saying, so.

24           MR. DIPENTIMA: I just want to -- I mean,  
25           obviously you have authority -- when you go to Congress

1           and you ask the Congress for money to buy airplanes,  
2           you have the authority to fly those airplanes. You're  
3           flying the airplanes. ATSDR is not flying the  
4           airplane. Some other agency's not flying the  
5           airplanes. You guys are flying the airplanes. So you  
6           have, I mean, multiple sources of funding within DoD,  
7           some of it are, you know, discretionary funding that's  
8           not earmarked to certain projects.

9           And I'm just curious why the DoD is saying they  
10          can't fund something in a community because they don't  
11          have the authority, but they don't need the authority  
12          to do the work that's being done by another agency.  
13          They just need to provide the support that's necessary  
14          for the other agency to do what they have the legal  
15          authority to do.

16          COLONEL COSTANTINO: Yeah, I don't -- it's the  
17          same question and same answer. I guess I can't -- I  
18          can't go more beyond what I've said already, I think.  
19          Again, this has been presented back to House Armed  
20          Services Committee, several members of Cong -- we've  
21          covered this ground, and they've asked us the same  
22          question, and I don't know where it's gone from there,  
23          but we've addressed this quite a bit at the Hill, and  
24          we gave an entire briefing of our entire approach to  
25          dealing with PFOS, PFOA emerging contaminants, and the

1 funds that you're talking about are environmental  
2 restoration funds. Those are the funds we do have in  
3 this area, and that's what I was explaining earlier, is  
4 under CERCLA -- under DoD instructions and policies  
5 they draw the boundaries and the lines on what can or  
6 can't be done, and beyond that...

7 DR. CARIGNAN: Courtney Carignan. So I have a  
8 couple points and a couple questions. So I guess the  
9 first point I want to make, which I think I might make  
10 at every meeting, is that there's a community in  
11 Sweden, in Ronneby, Sweden that identified their  
12 contamination at approximately the same time as the  
13 Pease community discovered their contamination, AFFF.  
14 And Sweden -- the Swedish community has gotten a health  
15 study underway, actually within a year of discovering a  
16 contamination, a children's study, and they are well,  
17 you know, underway with that. And so in terms of  
18 regulation I think it's worth taking a look at what is  
19 it about the Swedish regulatory program and policies  
20 that allowed that to happen so quickly and how might we  
21 reconsider ours, so something to think about.

22 Another point I want to make is AFFF is a unique  
23 exposure, a unique exposure to a unique mixture of  
24 PFASs, so I guess one question might be, what are other  
25 responsible parties that have released AFFF, and, you

1 know, is one solution to combine the Air Force and  
2 these other PRPs in our request for funding? I'm not  
3 aware -- I know that you said commercial airports. I  
4 don't know that contamination has been discovered at  
5 commercial airports, where that finer training would be  
6 done.

7 And I guess a question for you, in terms of your  
8 authority, is, you know, you're not allowed to, so you  
9 say, fund a health study. Does medical monitoring also  
10 fall into that lack of authority?

11 COLONEL COSTANTINO: Yes.

12 DR. CARIGNAN: Okay.

13 COLONEL COSTANTINO: Yeah, 'cause that was a  
14 question a few years ago with the blood testing, so  
15 essentially it's the same question.

16 DR. CARIGNAN: Well, I think in medical -- in  
17 terms of medical monitoring, I mean more of what was  
18 recommended after the C8 health study, and they  
19 released a medical monitoring plan where they're  
20 looking at specific endpoints, so they're health  
21 endpoints, not levels in blood.

22 COLONEL COSTANTINO: Right. That would be the  
23 same. And not for research purposes but for --

24 DR. CARIGNAN: Not for research purposes, right.

25 DR. BREYSSE: So if I could address one issue that

1           you raised, anywhere there are large possibility of  
2           petroleum-related fires you're going to have AFFF  
3           present. Any fire you can't put out with water,  
4           essentially, you're going to use the foam.

5           So I was out in the State of Washington recently,  
6           and they're -- the state is looking at all their  
7           drinking water sources as part of kind of this emerging  
8           contaminant concern, and they found a number of private  
9           wells that were impacted at high levels, and they had  
10          no industrial source, no airport, nothing, nearby. And  
11          they scratched their heads for a bit, and finally  
12          someone looked back in the records. There was a tanker  
13          crash, and the tanker caught on fire, and they sprayed  
14          the foam all over the tanker, and then like good  
15          practice, they washed it off the road, and there was an  
16          aquifer recharge area right there alongside of the  
17          road, and these chemicals are -- persist in the human  
18          body but they're also environmentally persistent. And  
19          so this was about six years ago that this fire  
20          happened, and the contamination was still in the  
21          drinking water at that period of time.

22          So conceivably anywhere there are large petroleum  
23          areas where there's a risk for that, AFFF is being  
24          used. What I don't know is we haven't been -- nobody  
25          has come forward to us and said here is a site that's

1 contaminated because of, I'll just say, an oil  
2 refinery, for example. We have not been highlighted  
3 any of those. The sites that we know of are industrial  
4 sites, where they use it in industrial settings,  
5 military sites.

6 But it's inconceivable that there aren't other  
7 places. And as Colonel Costantino said, we know  
8 already there's 65 communities that have, or recently  
9 had, PFAS levels above the EPA health advisory level.  
10 That's only for people with PFOS recognized. And we  
11 don't know a lot about what's driving those sites as  
12 well. So one thing that we want to do is we're  
13 exploring GIS analysis, looking at potential risk  
14 factors of that as well. So we're trying to figure  
15 that out.

16 DR. CARIGNAN: So one thing, Laurel and I helped  
17 coauthor a study last year looking at the UCMR-3  
18 drinking water data and PFOS contamination, and one  
19 thing that it found was that detection of PFOS in  
20 drinking water was correlated -- associated with  
21 proximity to Air Force military fire training sites  
22 with manufacturing facilities and also waste water  
23 treatment plants. But also the UCMR-3 monitoring  
24 program, it had a size requirement, and so if you look  
25 at where monitoring was done you see that it was

1 basically not done in small communities where you might  
2 have some of these sources that you just noted. And so  
3 I'm wondering what agency's jurisdiction is it to look  
4 for -- you know, monitor for PFOS in smaller drinking  
5 water sources near, you know, sites that might have  
6 used AFFF, for example.

7 DR. BREYSSE: I don't think anybody has that  
8 authority, but we are working with states very  
9 carefully. We have a PFAS tool kit, for lack of a  
10 better word, that we're making available to state  
11 environmental health departments. They're saying --  
12 giving advice like that, to very aggressively look at  
13 smaller water systems. We know from a couple sites we  
14 looked at in a lot of detail, there might be one  
15 sentinel larger water system that was contaminated.  
16 They look at all the smaller systems around it and the  
17 contamination is actually much wider. But you wouldn't  
18 know just by looking at that one sentinel system, so  
19 you're absolutely right.

20 So this just speaks to the magnitude of the  
21 problem and the challenges in vetting it. So, you  
22 know, we're a resource to state and local health  
23 departments, and we come in when a state or local  
24 health department invites us or when the Air Force --  
25 the DoD invites us or EPA invites us as well. And so

1 we're reaching out as aggressively as we can to all  
2 state environmental health departments to try and get a  
3 better picture of what the national scale is.

4 And, you know, right now we estimate there's tens  
5 of millions of Americans that we know of that are  
6 drinking water that -- at or above -- or reasonably or  
7 above this level, and the reality is it's probably an  
8 order of magnitude higher than that, just based on all  
9 the things you just said.

10 DR. BOVE: Let me throw something out, though. In  
11 the Feasibility Assessment, in the appendix, we do have  
12 the UCMR data. But what we did -- or what was done was  
13 to look at the combination of PFHxS and PFOS together.  
14 So if you look at the list of water companies that are  
15 in the top ten, you'll see that -- well, top seven,  
16 that one, two, three, four, five, out of the seven were  
17 due to military base contamination, so it is true that,  
18 if you look at the UCMR data without, you know,  
19 distinguishing the different PFOSs and so on, you might  
20 say that there's all these sites all over the country;  
21 however, if we're looking at AFFF contamination, and  
22 we're looking at the places where it's the highest,  
23 they're military sites, almost all of them.

24 Now, keep in mind at the same time that, when I  
25 talked about the three Philadelphia sites, and they're

1 in the top seven, it's not the entire population that  
2 may be exposed. It may be pockets that are getting  
3 high exposures and other parts that aren't, and that's  
4 true particularly for the third one on the list,  
5 Security Water System in Colorado Springs, where the --  
6 there is water being brought in which is not  
7 contaminated, and then there's the wells that are. So  
8 that all these water systems -- some of them are -- and  
9 again, Pease is a very simple water system compared to  
10 these, so you have to keep that in mind.

11 And you have to keep that in mind with the UCMR  
12 data in general because they're not taking samples  
13 necessarily in the distribution system, at the tap, but  
14 at the -- at a particular supply well, and if the  
15 supply well's a low-production well and it's being  
16 swamped by other wells, then you don't really know  
17 what's at the tap very easily, okay? So keeping that  
18 in mind, though, as I said, if you look at the UCMR  
19 data with the idea of where the AFFF contamination is,  
20 and you look at the PFOS and PFHxS together, you see  
21 that the military sites are in the top seven, so just  
22 keep that in mind.

23 DR. CARIGNAN: So I guess what I was wondering  
24 about is like fracking. Is it used to -- if there's  
25 spills at fracking sites or pipelines, those types of

1 places? I mean, they're so rural that they just  
2 wouldn't be monitored, and, you know, might not have  
3 been identified yet.

4 DR. BOVE: That's a good question. I don't know.

5 MS. SHAHEEN: So in the spirit of Courtney's line  
6 of questioning, in terms of the timing and what it's  
7 going to take to move us forward to get the health  
8 study funded and underway, I have a couple of other  
9 questions for the Colonel. One is, can you point to --  
10 you know, you mentioned the fact that you had ruled out  
11 -- that Air Force had ruled out its response to PFOS  
12 and PFOA and how it was addressing this. Can you speak  
13 to whether any other communities are at the point we  
14 are, in terms of getting beyond a Feasibility  
15 Assessment and being ready now to move forward, and  
16 have they approached the Air Force because of an Air  
17 Force-related contamination, to do a study of this  
18 nature?

19 COLONEL COSTANTINO: Trying to think of the  
20 numbers. We have five or six bases where it's  
21 off-base, I believe. We have different categories at  
22 different bases, but anyway, for those, they fit the  
23 model that I described earlier, where we have  
24 contamination off-base, and they're similar in that  
25 we've had town hall meetings in all these places, and

1 we followed the same process where we engage ATSDR.  
2 That's one of the agreements we have with them, is when  
3 we have sites and there's contamination off-base, we  
4 ask for their support and expertise to address the  
5 community health concerns, so very similar process.  
6 And some of those are more than a year ago. None of  
7 them have stood up a CAP or asked for it, so none of  
8 them are this far along. So the answer is no, there  
9 are none others. But we have several others that are  
10 similar.

11 MS. SHAHEEN: And just a follow-up. You had  
12 mentioned that -- when Courtney asked about the health  
13 monitoring, that that was why the Air Force hadn't  
14 funded some of the earlier blood draws, but as far as I  
15 recall, and other people can correct me, you may know  
16 better, but we never requested the Air Force to do that  
17 screening because the state stepped up and did it. So  
18 I just -- what I'm trying to figure out is I'm assuming  
19 you're delivering us a message you've heard from the  
20 legal team, and that our challenge, collectively as a  
21 CAP, is to go back and advocate among our members of  
22 the Congressional delegation and other folks at  
23 Department of Defense that there actually is precedent  
24 and there is a role for the Department of Defense to  
25 play in funding this study, and so I want to make sure

1 I -- you know, I -- it's not as if there is a precedent  
2 for the Air Force to say, in this case, in this  
3 community, no, we're not going to fund that lab work  
4 because it's health monitoring, 'cause we didn't ask,  
5 as far as I know --

6 COLONEL COSTANTINO: We were asked.

7 MS. SHAHEEN: By whom?

8 COLONEL COSTANTINO: So I came in -- that's when I  
9 showed up in the job. That was ongoing discussion. So  
10 we did have -- we did have some Congressional inquiries  
11 to pay for the blood monitoring, and we -- when our  
12 answer came back similar to the one that I'm sharing  
13 with you this evening, the follow-on is, what you can't  
14 pay for it can you help execute? Do you have people  
15 who can come draw blood? So we were specifically asked  
16 if our medical team could come up here and support that  
17 as well, and the answer was the same, with -- along the  
18 lines of authorities.

19 MS. AMICO: I guess I just want to be clear about  
20 something that I didn't give the Air Force authority to  
21 contaminate the water and contaminate my children, and  
22 for you to stand here today and say that there's no  
23 funding for this process, I just -- I'm blown away,  
24 that that's an acceptable answer. There's other people  
25 in this room that are affected by this, that are

1 concerned about their health, people that have health  
2 effects that are worried that it's a cause of -- from  
3 drinking the water here, so it just -- it's  
4 mind-boggling to me that -- you know, I understand that  
5 the Air Force didn't intentionally contaminate the  
6 wells here, but they did. They used AFFF. They  
7 contaminated the water. Thousands of people have been  
8 impacted here and across the nation, and the Air Force  
9 absolutely needs to take responsibility for this.

10 And I echo what Stefany said: We're not taking no  
11 for an answer. Like it's not going to stop here.  
12 We're not just going to pack up and go home tonight.  
13 All of these people came out on a weeknight, they left  
14 their families at home, to discuss this process that  
15 has been ongoing for over a year.

16 And I feel like exactly what Alayna said, if you  
17 folks had no intention of funding studies -- it's been  
18 very clear for a long time this is what we were working  
19 towards, are these studies. So if there was never any  
20 plan to fund it, you should've made that clear a lot  
21 sooner in the process.

22 So we have jumped a lot of hurdles. We have  
23 overcome a lot of obstacles in our community, and I  
24 guess the way I feel about it is we're just getting  
25 started. It doesn't end tonight, and I'm up for the

1 challenge of continuing to advocate, because our  
2 community will absolutely get health studies and  
3 monitoring and get the answers we need, and I will not  
4 stop fighting for that. And I want you to take that  
5 message back to your legal team and back to the  
6 Pentagon, and I want them to understand that, that  
7 we're not going away. [applause]

8 SENATOR FULLER CLARK: So again, to follow up on  
9 this discussion, and I think it's clear that people are  
10 very concerned and disturbed, my question to you is,  
11 how do we get you that legal authority? What language  
12 needs to be changed through the Congressional  
13 delegation? What explicitly can you recommend to us in  
14 terms of creating a pathway to make it possible for the  
15 Air Force, who, I believe, you know, has accepted the  
16 responsibility but are -- you've encountered legal  
17 barriers, and we need to find a way to remove those  
18 legal barriers. So can you provide us with any  
19 guidance and suggestions?

20 COLONEL COSTANTINO: I think I can. I hope I can.  
21 Our position has been -- and we've shared it with Dr.  
22 Breysse and his team, as we went over to the Hill we  
23 went jointly with Dr. Breysse. Our recommendation is  
24 for any provision or funding to go directly to ATSDR,  
25 and not have DoD in the middle of that process. So

1           when I said earlier we weren't seeking different  
2           authorities or different solutions, our -- we've worked  
3           with ATSDR and gone across and said we will go together  
4           to Congress with them and state this is a problem that  
5           does need to be funded, and we drafted up some language  
6           to support that. So our recommendation is for efforts  
7           to go wherever -- whoever has the authority to approve  
8           this funding, for it to go directly to them. That's  
9           what we're saying.

10           SENATOR FULLER CLARK: So can you provide us with  
11           that language that you've drafted so that we also --

12           COLONEL COSTANTINO: Right.

13           SENATOR FULLER CLARK: -- can find a way to be  
14           supportive or to help push this?

15           COLONEL COSTANTINO: So I will -- let me check.  
16           My answer's yes, but let me make sure that I can do  
17           that. I don't see why not. Let me check. That's a  
18           due-out I have for you, is, if we can provide you the  
19           draft language that we put together to support them --  
20           and our senior leaders said they would go with ATSDR  
21           hand-in-hand and say we support this as well, because  
22           the authority lies over here, and not with us. It lies  
23           with them, is really what we were saying.

24           DR. BREYSSE: I will echo that. So the  
25           commitment -- the DoD supports the need for a study and

1 recognizes the challenges in trying to get resources.  
2 So it's never been an absence of the recognition. It's  
3 just the lack of authority on their part and the  
4 challenges in the budgeting process that creates a  
5 barrier, perhaps.

6 MS. SHAHEEN: So I just want to pick up on where  
7 Senator Martha Fuller Clark left off and where Andrea  
8 left off, because time is of the essence here. We have  
9 been at the table now for 18 months at least, and  
10 again, I respect you very much for being here, Colonel.  
11 I'm grateful to you for your service. I'm grateful for  
12 your time. I know that you're delivering a message  
13 that is not of your creation, but the Department of  
14 Defense has a \$600 billion budget, and ATSDR has a  
15 \$74 million budget. To go back to the legislature to  
16 be advocating for funding, a new funding stream, that's  
17 going to somehow magically be directed to ATSDR, to do  
18 a study on a population of people that were  
19 contaminated -- no, that are dealing with a  
20 contamination that they had no connection to, that then  
21 sets a precedent for all these other communities where  
22 there may or may not have been contamination caused by  
23 the Air Force, we're talking years before we ever would  
24 see any federal funding coming directly to ATSDR,  
25 realistically. I, I mean, just knowing how the process

1 works, that's the reality.

2 There is precedent in the Department of Defense  
3 for funding long-term health studies. They did it at  
4 Camp Lejeune. And our challenge, and I don't put this  
5 on the Colonel to solve this challenge; it's our  
6 collective challenge, to figure out how they were able  
7 to go about doing that. How did that funding come to  
8 ATSDR for purposes of that study? 'Cause it's very  
9 parallel.

10 And so again, I appreciate what message the  
11 Colonel's delivering. I know what he's telling us is  
12 what he needs to convey. We can't hear it, frankly,  
13 'cause we don't have the latitude or the luxury to hear  
14 it, because, as Andrea said better than I can and very  
15 articulately, there are families who are waiting for  
16 answers. I know they may not get them in this study  
17 but they can at least feel like that something good can  
18 come from this, and we can learn something from it for  
19 future communities, for future generations and for  
20 themselves. So I appreciate the message. I hear what  
21 you're saying. I don't accept the answer because  
22 there's a precedent with Camp Lejeune and Department of  
23 Defense funding long-term health studies. We have to  
24 figure out how that precedent -- you know, what, what  
25 language they were able to hold onto that justified the

1 funding of that study, and make sure that they can use  
2 that same language to justify the funding of this  
3 study. \$600 billion budget compared to a \$74 million  
4 budget. The reality is it's going to be a long time  
5 coming.

6 Those kids who were exposed in childcare are going  
7 to be graduating from college before we see Congress  
8 getting funding directed to ATSDR for this purpose by  
9 itself. Now, again, I wish that were not the case. I  
10 wish ATSDR's budget were ten times the size it is, but  
11 the reality is the idea that we're going to get  
12 Congress to move as fast as we need them to move, I  
13 think, is not the right direction for us as an  
14 agency -- or community advisory group to go down. We  
15 got to figure out what precedent is in place for  
16 Lejeune and figure out how we can get that applied  
17 here.

18 MS. DAVIS: Okay. My questions were related to  
19 the mention of TCE and how that certain members that  
20 were exposed are going to be eliminated from the  
21 possibility of being able to participate in the study  
22 because of it, because of the cofounding [sic] factors.  
23 Are those specific to cancer or is that all at  
24 endpoints?

25 DR. BOVE: I think that what we were trying to say

1 is that if -- that Pease and Warminster in particular  
2 had TCE contamination, we'd have to take that into  
3 account, whether we would limit the study to those  
4 people who arrived at the base after the TCE  
5 contamination was over -- in the case of Pease it would  
6 be somewhere around '84 or '85, I think it is -- or  
7 whether we -- what we would do about the TCE exposure.  
8 'Cause that complicates not only cancer -- we were  
9 focused on cancers and causes of death for the civilian  
10 workers and the service people at the base. So for  
11 those endpoints TCE is a problem.

12 For the adult study we're talking about, where  
13 we're looking at effect biomarkers like cholesterol and  
14 uric acid and so on, that's a different story, and we  
15 weren't -- we were only limiting the adults to a  
16 certain time period, so most -- and the time period  
17 only starts at, what, 2007 or 2008, so that would be  
18 after, of course, the base was closed. So the adult  
19 study, where we're talking about effect biomarkers,  
20 this isn't an issue at all. It's the study where we're  
21 proposing where we look at mortality and cancer  
22 incidence, similar that we're doing at Camp Lejeune.  
23 And then we'd have to take into account that there were  
24 TCE exposures. So for those endpoints, mortality and  
25 cause of death and cancers, yeah.

1 MS. DAVIS: So the endpoints that are feasible,  
2 you're saying, it doesn't impact. It's just the  
3 possibility of including other sites to maybe analyze  
4 other endpoints that we can't analyze here because of  
5 the number and the population?

6 DR. BOVE: No. What I was saying is -- we were  
7 talking about an adult cross-sectional study, and the  
8 time period that we were talking about is it starts in  
9 2007. So if you were at the -- at Pease any time  
10 between 2007 or 2008, it was, and the time the Haven  
11 well was shut down, you would be eligible for that  
12 study. TCE isn't an issue there because the TCE was  
13 over a long time ago.

14 It's only the studies we're talking about where  
15 we're going to look at service people and civilian  
16 workers at the bases in the past, okay, so -- it's an  
17 issue. And we were going to just look at mortality and  
18 cancer incidence for that study. And we would include  
19 several bases to do that, okay. So we'd have to take  
20 into account TCE, whether we limited the study to  
21 people who weren't exposed to TCE or somehow tried to  
22 factor that in, which would be complicated, it would be  
23 a problem to have that exposure as well. Is that --

24 DR. BREYSSE: Well, it's not an issue for what we  
25 proposed here, but if we begin to explore the national

1 study, we're going to have to -- where we acknowledge  
2 the national study's going to have different designs  
3 for different endpoints. We'll have to make sure that  
4 we understand the confounding or the bias that might  
5 produce by the TCE and figure out if we could account  
6 for that adequately, so there are some bases where TCE  
7 exposure is quite high, and there is PFAS at those  
8 bases as well. So if we look at putting a cohort  
9 together with the type of questions you want to ask,  
10 the type of design, we'll have to consider that.

11 DR. BOVE: And for example, there's a site called  
12 Wurtsmith in Michigan where the TCE contamination was  
13 astronomical. It was Lejeune levels. And they also  
14 have PFAS, but the PFAS levels are -- I mean, the big  
15 elephant in the room is the TCE. And so there it would  
16 be difficult to look at PFAS when you have a thousand  
17 parts per billion of trichlorethylene in your drinking  
18 water. I mean, that -- you know. So that's what I'm  
19 talking about. You don't have that kind of situation  
20 at Pease or at Warminster. You have -- it's more  
21 comparable. The TCE isn't enormous like that. But  
22 even so I would want be able to -- we would want to be  
23 able to factor that in somehow.

24 Now, it's not impossible. If you look at the  
25 Faroe studies. You know, there's PCEs, there's

1 mercury, there's all kinds of things going on there.  
2 So there are methods you can do to try to tease out the  
3 PFAS contribution to whatever you're looking at, so  
4 it's not impossible. It's just that if you wanted to  
5 design a study, you would probably like to do it, if  
6 you can, just focusing on PFAS, and not having these  
7 other exposures involved. It's not impossible, in  
8 other words.

9 MS. DAVIS: So I have one more question, then I'll  
10 go back to that. So in terms of -- I know right now  
11 we're just considering the cross-sectional as being the  
12 feasible path, and our goal is to eventually turn that  
13 into longitudinal. So at what point do we -- so do we  
14 do the cross-sectional, and if there's positive  
15 correlations between some of the endpoints and the  
16 cross-sectional, then we decide to carry those over to  
17 a longitudinal? Like how do you decide which one --  
18 what to include in a longitudinal?

19 DR. BOVE: You could do it that way. I'm not so  
20 sure that would be the best thing to do. There may  
21 be -- you may not see something in a cross-sectional  
22 study as you might see longitudinally, so I would also  
23 look at the literature, where any longitudinal work is  
24 done, for example. Or any endpoint that you saw in  
25 another study that we didn't see here, that you might

1 want to double-check and make sure that it doesn't show  
2 up in the future. So I wouldn't just limit it to those  
3 where I've seen a correlation.

4 But in all these studies you do have to start  
5 somewhere, so a cross-sectional study is one way to  
6 start. You know, you can identify a cohort that way  
7 and follow them in the future, as Dr. Breysse was  
8 mentioning. So it doesn't rule out longitudinal at  
9 all. The only -- in fact, as I said, the C8 study had  
10 a longitudinal component to it; they just ran out of  
11 money, for some reason, and so couldn't do more  
12 longitudinal work than they did.

13 MS. DAVIS: So that was the reason why I was  
14 asking, 'cause we've had concerns that some of the  
15 health endpoints wouldn't show up 'til later on.

16 DR. BOVE: Right.

17 MS. DAVIS: And so, you know, at the end of this  
18 cross-sectional there might not be a correlation, but  
19 five, six, seven years from now there will.

20 DR. BOVE: Right, for example, cancers.

21 MS. DAVIS: Yeah. And so is the process then that  
22 all of the endpoints that we're studying in the  
23 cross-sectional would carry over to the longitudinal,  
24 should the longitudinal be taken up later on? And then  
25 that way we're not missing anything or eliminating

1 anything from possibly having a delayed response?

2 DR. BOVE: I think that we'd have to look -- you  
3 know, if we saw an excess and we wanted to follow it  
4 and see if that continued, that would be a reason to  
5 continue. The other -- as I said, the other approach  
6 as well is to look at the literature and see what's  
7 there and what we did or did not see in the cross-  
8 sectional study, and make a decision that way. So it  
9 would be sort of an iterative process, if you will.  
10 You know, you look at the literature, you'd see what  
11 you saw at Pease and decide which ones you'd want to  
12 follow.

13 And then you'd also keep in mind that certain  
14 endpoints you wouldn't expect to see in the cross-  
15 sectional studies, but you'd only see it if you follow  
16 these people over time, right? So again, it depends on  
17 the endpoint you're interested in for one thing,  
18 whether you'd want to follow it over time or whether  
19 the cross-sectional would actually answer your  
20 question. So any other epidemiologists in the room  
21 want to hype in and --

22 DR. CLAPP: This is Dick. A lot of the blood  
23 tests or liver function or kidney function tests are  
24 best done in a cross-sectional study, in my opinion.  
25 They will diminish over time.

1 DR. BOVE: Yeah. So it really depends on the  
2 endpoint.

3 MS. DAVIS: Alayna Davis. And then is -- one  
4 small follow-up to that. Is there anything that would  
5 eliminate an endpoint from being carried over to a  
6 longitudinal study? So like, you know, say there  
7 wasn't anything that we could foresee right now as a  
8 relationship after the cross-sectional does -- I mean,  
9 what's -- is there a procedure in place that says, then  
10 you don't take it further or is there certain criteria  
11 it has to meet to be taken further into a longitudinal?

12 DR. BOVE: Again, I would be a little nervous of  
13 ruling something out, especially if I saw in the  
14 literature that there was, you know, other studies have  
15 found it. So if you didn't see it in the cross-  
16 sectional study, if I didn't -- if we didn't expect  
17 that endpoint to be seen longitudinally, if we didn't  
18 see it cross-sectionally, and if we didn't see it in  
19 the literature, then I would move to rule it out. In  
20 other words, I would want to -- I would be careful  
21 about ruling something out without exploring, you know,  
22 the different -- you know, what was seen in other  
23 studies and what I would expect to see. So I can't --  
24 you know, I would be cautious, in other words. Is that  
25 helpful?

1 MS. DAVIS: Yeah. I just didn't know if there was  
2 like a protocol already in place that says, no, you  
3 can't do that. You can't move on with that endpoint  
4 because you didn't say -- you know. So I -- it's good  
5 to know that you'd keep it open for interpretation.

6 DR. BOVE: Also 'cause the research is still, in  
7 my opinion anyway, at an early stage with PFAS. So to  
8 rule out something, even with the literature we have  
9 now, is a little iffy, and I would want to see more  
10 literature. Of course you have to do studies to  
11 improve the -- build the literature, of course. But  
12 you know, I wouldn't rule anything out at this point.

13 MS. DAVIS: And then the last question is, is you  
14 know, the -- including the other sites for the  
15 endpoints that aren't feasible right now is part of the  
16 Feasibility Assessment. So what is the next step in  
17 terms of getting that going and, you know, what is --  
18 what's the procedure? And we would like to be updated  
19 on every step of that process, because, just because we  
20 can't do it here at Pease, we'd like to either be a  
21 part of the national study or know how it's  
22 progressing.

23 DR. BREYSSE: So we're in the process, again,  
24 absent funding, but thinking that if we do get  
25 resources we want to be as ready to go as possible, of

1           conceptually designing what a national study would look  
2           like.

3           And like I said before, there are different  
4           designs for different endpoints. What would those  
5           designs be? Or maybe scope out sample size issues  
6           associated with that. And so we're, at least  
7           conceptually, trying to build a model for a framework  
8           for what a national study would look like. And then  
9           we -- should the resources become available that would  
10          get us that much further down the road in order to get  
11          it started.

12          So conceptually we imagine identifying a number of  
13          sites that would be included in this pool, the cohort.  
14          And there would be site-specific analyses that we'd do,  
15          and then there would be a pool of analyses to be done.  
16          There'd be a retrospective component to it. There'd  
17          probably be a longitudinal component to it. There  
18          would probably be a cross-sectional component to it.  
19          And so that's -- we've asked our epidemiologists to  
20          come up with this framework, and Frank is on that  
21          panel. And they're moving along quite efficiently I'm  
22          told. We should have drafts of something to at least  
23          start considering in the relatively near future.

24          MS. DAVIS: Okay. So can we keep that on our  
25          agenda, to get regular updates on the progress of that?

1 Thank you.

2 MS. DALTON: Hi, this is Michelle Dalton. I have  
3 a few questions that actually they tie in with what  
4 Alayna was saying, and I hope I'm not beating a dead  
5 horse, but the cross-sectional versus the longitudinal  
6 study, the study that you have proposed here, is that  
7 just a cross-sectional or is it a cross-sectional  
8 longitudinal?

9 DR. BOVE: It's a cross-sectional at this point.

10 MS. DALTON: Okay. Can we build it to have  
11 longitudinal components?

12 DR. BOVE: Sure. Sure. Again, though, we'd have  
13 to do the cross-sectional study first.

14 MS. DALTON: First, okay. So that's the first  
15 step.

16 DR. BOVE: Right. The -- what isn't  
17 cross-sectional is actually the thing we mentioned,  
18 about the military personnel and the civilian workers.  
19 That's a retrospective cohort study, actually, so  
20 that's not a cross-sectional study, and again, looking  
21 at mortality and the cancer incidence.

22 But the two studies we're talking about here, the  
23 adult and the children's study, are cross-sectional.  
24 You can always add a longitudinal component, but again,  
25 it's going to require funding, and then what endpoints

1           are you going to look at longitudinally. I mean, you  
2           know, again, there's no hard and fast rule here which  
3           ones you'd want to follow. There are, as Dr. Clapp  
4           mentioned, there are certain endpoints you'd expect to  
5           see in a cross-sectional evaluation, that would be  
6           harder, actually, to follow over time, or you'd see it  
7           diminish because the exposures -- the effect of the  
8           exposures are starting to diminish the effect. So we'd  
9           keep all that in mind, and we'd have that discussion  
10          with you.

11           MS. DALTON: Right. Okay, great. In terms of the  
12          national study, what -- I know you say drafts in the  
13          near future, and probably hesitant to give out any sort  
14          of a time frame, but we know how slow that this process  
15          has worked, with just one site, being Pease. So in  
16          terms of a national study, I mean, are we talking years  
17          and years from now?

18           DR. BREYSSE: So the document produced is just a  
19          framework, right, so it's not going to be a full-blown  
20          Feasibility Assessment, like we have here. And so  
21          that'll be produced in the order of months.

22           MS. DALTON: Okay.

23           DR. BREYSSE: And of course, but anything we make  
24          public -- so remember I've been at this job now for two  
25          and a half years. And I'm still learning a lot. And

1 everything we make public has to be kind of reviewed  
2 and vetted through the CDC. And so once we've decided  
3 that we're going to share it with you, we'll get it  
4 properly vetted, and hopefully -- we're learning more  
5 and more about how to make sure that system works more  
6 efficiently than it has, in this case in particular,  
7 and hopefully it won't take that much longer.

8 MS. DALTON: Okay. And then it will be probably  
9 years from then until a study can actually start, going  
10 through the correct protocols.

11 DR. BREYSSE: Well, so the first step would be  
12 identifying the resources to do the study, the  
13 resources to design the study. All right, 'cause just  
14 designing the study will be a big effort.

15 MS. DALTON: Okay.

16 DR. BREYSSE: All right, and that will involve  
17 identifying, you know, the sites that will be involved,  
18 and interact with them, like we are with you, trying to  
19 understand the exposure, trying to understand what's in  
20 the water, how long it's been in the water, how it's  
21 distributed across the water, and looking at the  
22 demographics of the area, the range of exposures.  
23 There will be a lot of data collection as a big part of  
24 that process as well. And then that will all feed into  
25 this big cohort design of some type somewhere down the

1 road.

2 So it will be an iterative process. It will  
3 involve some site-specific assessment work, some  
4 biomonitoring work. You know, we don't have  
5 biomonitoring at many sites. To help understand what  
6 the actual exposures are, looking at the water system,  
7 understanding it like we've invested in Pease. So we'd  
8 have to do a lot of that across these sites as well.  
9 So that'll all take time.

10 MS. DALTON: And I guess the reason why I keep  
11 asking about this is because a lot of those endpoints  
12 that are going to be studied in the national study are  
13 what we consider the big-ticket items, you know, the  
14 cancers and the big, you know, health impacts, that I  
15 know that I personally am concerned about as well as a  
16 lot of the community members, so that's why I just want  
17 to keep talking about it, making sure that we  
18 understand what's actually happening.

19 Last question was in regard to the studies. In  
20 the children's study it says the ages go up to 16, and  
21 then the adult study they need to be 18. What happens  
22 to those people who are 17, in the middle?

23 DR. BOVE: Again, we can expand the ages in either  
24 direction. Trying to just be similar to other studies;  
25 although other studies have used a wide range of

1 different ages for the children. In NHANES studies  
2 they start at 12 because they don't have PFAS  
3 measurements for those under 12, so they're limited  
4 right there. But studies done, in Taiwan, for example,  
5 sometimes just looked at 12- to 15-year-olds.  
6 Sometimes it depends on the endpoint as well.

7 So, you know, I was trying to figure out what age  
8 range would match at least some of the studies. And so  
9 originally I was thinking five to 15. So I actually  
10 increased the range a little bit because I saw that it  
11 was feasible to do that. We could expand it to 17. I  
12 don't know how many more people we would pick up doing  
13 that.

14 MS. DALTON: I'm just thinking in terms of the  
15 Pease population and how we're a rather small group,  
16 expanding it to 17. If it doesn't, you know, water  
17 down the study or --

18 DR. BOVE: No.

19 MS. DALTON: -- with the data, would we want to.

20 DR. BOVE: No.

21 DR. BREYSSE: That's the kind of comment we like.  
22 We'd be happy to consider that.

23 MS. DALTON: Okay, great.

24 DR. BOVE: 'Cause that would fit in with some of  
25 the NHANES work, for example, if we expand to 17. Most

1 of the adult studies are 18 and over, so that's more in  
2 line with that. There are some that start at 20, but  
3 really that's --

4 MS. DALTON: Okay. Thank you.

5 DR. BREYSSE: And that age defines adult.

6 MS. DALTON: Thank you.

7 DR. CARIGNAN: Courtney Carignan. So I guess I  
8 want to go back to the medical monitoring question  
9 because I have a history. I worked on a site -- sort  
10 of part of the reason I went back to get my doctorate  
11 was I was working on a site where there was  
12 trichloroethylene contamination, and I was working on  
13 that site for three years, and during that time there  
14 was no medical monitoring. We were just abandoning  
15 wells, trying to reduce exposure, and I kept asking,  
16 you know, the PRP, why isn't there medical monitoring?  
17 Why aren't we telling these people that, you know, this  
18 exposure has been associated with liver and kidney  
19 cancer, so that they can, you know, be talking to their  
20 physician and keeping an eye out, and when I left --  
21 shortly after I left that site one of the women who  
22 lived there was diagnosed with liver cancer, and she  
23 had to have three-quarters of her liver removed. And I  
24 couldn't help feeling like, if that had been in place,  
25 that, you know, maybe her life would've been extended.

1           And so every day, every week, every month that  
2           ticks by I feel like we are missing an opportunity to  
3           help families be proactive about their health and the  
4           health of their children. And so, you know, here we  
5           are, talking about how we're going to get a study  
6           funded, talking about how many years we're looking at  
7           before we have a study underway, before we have any  
8           data, and I think it's worth taking a little bit of  
9           time to think about, you know, what are things that we  
10          can do now, what are sort of the things that we can put  
11          in place with the resources that we have now, and  
12          with -- that is within your jurisdiction or it is  
13          within the ability of the CAP or Testing for Pease that  
14          we can be taking a proactive approach, and helping  
15          communities be proactive and get their questions  
16          answered.

17                 So one thing that comes to mind is, you know, we  
18                 have these blood samples that have been collected on  
19                 almost 300 children. Do you know, have those blood  
20                 samples been saved? Are they archived in any way? And  
21                 I ask because one of the most sensitive endpoints is  
22                 the vaccine response. And so if you look at some of  
23                 the studies from Philippe Grandjean's group, they show  
24                 very strong dose response between PFOA, PFOS and PFHxS,  
25                 and decreased immune response to vaccinations to

1 diphtheria and tetanus, and if you look at the PFHxS  
2 levels at Pease, in the children, and you compare them  
3 to the levels in those graphs, you see that the levels  
4 of PFHxS in Pease are, you know, off the graph.

5 And so in the Grandjean studies, none of those  
6 levels actually reached clinically significant levels.  
7 So they didn't go low enough so that you wouldn't  
8 expect the children not to be protected against the  
9 vaccinations, but one of the things I wonder is if  
10 these high exposures to PFHxS might result in some of  
11 the Pease children not having enough immune response to  
12 be protected against these vaccinations, and so to me  
13 that's sort of a pressing question, right, especially  
14 in this age of, you know, anti-vax movements and we  
15 have a greater risk of children, you know, being  
16 exposed to these diseases that, you know, we like  
17 didn't get this eradicated, and actually if you look at  
18 those studies, if you have a before-and-after vaccine  
19 titer, then you actually need a very small sample size,  
20 much smaller than you would expect in anything like 60  
21 children, maybe.

22 And that's not that expensive. And we already  
23 have prevaccination data on 300 children, so we could  
24 potentially roll out a study very quickly to look at  
25 vaccine titer post-vaccination. You want to look about

1 a month after vaccination to do the study, and what it  
2 does is, having the pre- post-, it reduces all the  
3 noise that you get in the data, and so you could -- I  
4 think that might be something that could be done in a  
5 shorter period of time if you could, you know, roll a  
6 pilot in a short amount of time, if you had funding to  
7 do that.

8 I guess another point I wanted to sort of bring up  
9 is the CAP has -- many times Andrea and Lindsey, I  
10 think at every meeting, talk about what can we do now  
11 to be proactive against our health -- proactive about  
12 our health and the health of our children, and I  
13 wondered, you know, again, and thinking about what we  
14 can do now, could we potentially form a group with  
15 physicians, and engage them, and talk about the  
16 physician fact sheet and talk about how to talk to  
17 their patients about this, and sort of engage them  
18 more, because what I hear from physicians is that, you  
19 know, they don't really have time to read a lot or they  
20 don't have time to do that search, do that, but I'm  
21 wondering if their patients are approaching them and  
22 asking them these questions, and asking them to be  
23 involved in some type of group, if they might be  
24 interested to be involved, and I'm sure that there are  
25 physicians in these communities across the country who

1 are interested to be engaged, and is there an  
2 opportunity for them to do that if they come to ATSDR  
3 or elsewhere?

4 And then I guess the fourth point, kind of going  
5 back to the PFOS reduction strategy, so if you go  
6 online and you see what people are asking, a lot of  
7 people are wondering about how can I reduce the levels  
8 of PFOS in our bodies, but there isn't actually a good  
9 sort of review out there, 'cause you know if you search  
10 the internet you can find all kinds of things, and I  
11 think it would be helpful to people to have sort of  
12 some really solid information about what studies have  
13 been done, what did they find, you know, what are some  
14 hypotheses that are out there that could potentially be  
15 investigated in terms of thinking about interventions  
16 for reducing levels in your body and also, again, for  
17 protecting your health. So.

18 DR. BREYSSE: I'll take the vaccine question. So  
19 Ben is here, but I don't know if they -- if we are --  
20 if any of the blood samples are --

21 DR. CHAN: I don't think so.

22 DR. BREYSSE: Dr. Chan?

23 DR. CHAN: My name is Ben Chan. I'm with the  
24 Division of Public Health, Department of Health and  
25 Human Services. I don't know 100 percent whether we

1 still have the blood samples stored or not. The blood  
2 samples, when they were collected, there was a consent  
3 obtained to hold the blood samples through the course  
4 of biomonitoring, but the plan was not to hold them  
5 long-term.

6 The purpose of the blood draws and the blood  
7 testing was not meant to be a research study, and so to  
8 store blood samples long-term for the purposes of  
9 research would have involved a different consent  
10 process, if you will. I just emailed or texted  
11 somebody to ask that question 'cause I'm not  
12 100 percent sure whether or not we still have the blood  
13 samples from the 2015 testing. We may, we may not; I'm  
14 not sure.

15 DR. BREYSSE: But if you do and they weren't  
16 collected with that use in mind, you'd have to go back  
17 to those people and re-consent them for --

18 DR. CHAN: Yes, that is correct.

19 DR. BREYSSE: -- additional purpose.

20 DR. CHAN: That's correct. Because the purpose is  
21 now different from what the original consent was for.

22 MS. SHAHEEN: Stefany Shaheen again with a couple  
23 follow-up questions about national study versus local  
24 study, and as we think about continuing to advocate for  
25 the funding to do the studies, it would be helpful for

1           us, I think, to build consensus about is the request  
2           local or is it to be part of a broader national study,  
3           or both. Can you speak to the -- obviously there's  
4           huge cost differences. Is there an opportunity to do a  
5           local study on the magnitude of, you know, ten to  
6           15 million, you quoted, and have that data be  
7           incorporated into a broader national study?

8           DR. BREYSSE: Yes, I believe so. And in fact it  
9           might be valuable to do, just as a pilot, to see what  
10          works, and get some data that would help us refine the  
11          sample sizes for other calculations. Well, so it could  
12          be lots of practical reasons why to start in a single  
13          community and begin to collect the data and look at the  
14          challenges, the burden, the recruitment efforts and all  
15          the practical stuff that goes with, you know, to do  
16          something on a larger scale.

17          MS. SHAHEEN: That's just what I was hoping you  
18          were going to say. And can I ask as a follow-up, would  
19          you -- and I mean I know we're not there yet, but can  
20          we design the local study or is there anything you  
21          would recommend we do now such that having Pease be the  
22          pilot community would better position us, and the  
23          learning that can come from that in order to suit it  
24          well for part of a national study?

25          DR. BREYSSE: So the next step would be, if we had

1 the resources, would be to -- and Frank alluded to  
2 this, this is not quite a study protocol but it's got  
3 components of it, so to begin to transition this into a  
4 full protocol with a data analytical plan and all sorts  
5 of other details. And so that would be the next step -  
6 -

7 MS. SHAHEEN: Okay.

8 DR. BREYSSE: -- to making this kind of --

9 MS. SHAHEEN: Okay.

10 DR. BREYSSE: -- happen.

11 MS. SHAHEEN: And then in terms of the ongoing  
12 health monitoring, 'cause, again, I think our  
13 collective challenge as a community is, one, to make  
14 good on the promise we've made, which is that we're  
15 going to do everything we can to get to the root of  
16 what the risks and long-term exposures are as a result  
17 of the contamination, and obviously health monitoring  
18 is a more immediate and universal way in which we can  
19 try to touch anybody who's been exposed, and that  
20 population is going to be different inevitably from  
21 those who choose to be part of a longer-term health  
22 study. Can you speak at all to ATSDR's role in helping  
23 a community like ours with ongoing health monitoring in  
24 terms of establishing standards, setting guidelines,  
25 giving recommendations for families?

1 DR. BREYSSE: So let's just be clear, to  
2 distinguish between the health monitoring that you do  
3 as part of your normal clinical care versus the  
4 monitoring that we do as part of a health study.

5 MS. SHAHEEN: Correct, yep.

6 DR. BREYSSE: So we're talking about now the  
7 normal kind of --

8 MS. SHAHEEN: Normal clinical care.

9 DR. BREYSSE: Yeah, so we have developed  
10 guidelines that we're putting in this tool kit I  
11 referred to before --

12 MS. SHAHEEN: Right.

13 DR. BREYSSE: -- that reference quite heavily the  
14 medical monitoring suggestions in the C8 study. They  
15 seem to be, I think, the most developed guidelines out  
16 there, and we cite those guidelines there, and we have  
17 some physician education materials that -- and Tarah,  
18 we'd be happy to work with for your local medical  
19 community, to help discuss those issues with them, if  
20 there is an opportunity to do outreach, as Courtney  
21 suggested, so we can certainly begin to do that. That  
22 would be the best place to start, I think, in terms of  
23 the most vetted medical monitoring guidelines that I  
24 think are out there in the community right now.

25 MS. SHAHEEN: And can those be adapted for

1 individuals so they can be armed going into their  
2 clinician so they understand what to be asking for,  
3 what to be looking for, or are the materials really  
4 geared toward the medical community?

5 CAPTAIN SOMERS: The materials -- well, there's a  
6 couple things. There is some fact-sheet-like  
7 materials, which are pretty short reads, that were  
8 geared towards physicians, but I think most community  
9 members would find them pretty accessible to read. And  
10 then there's online like training -- not training. You  
11 know, that's more geared toward medical professionals.  
12 It goes more into some of the study findings, and  
13 that's a little -- I mean, community members can  
14 certainly watch it, but that's more geared towards the  
15 professionals, but we can go to -- I think some of you  
16 have already --

17 MS. SHAHEEN: Yeah, some of --

18 CAPTAIN SOMERS: They're on our website. They're  
19 readily available. We can make sure all the CAP  
20 members get it again.

21 MS. SHAHEEN: So I think, collectively, as a CAP,  
22 we should be thinking through beyond those materials,  
23 you know, how do we (a) get those materials into the  
24 right hands; and (b) beyond those materials, what else  
25 might be most useful. So beyond that training is there

1 any other role ATSDR has played historically in other  
2 communities related to health monitoring or is that  
3 sort of education and outreach in that --

4 DR. BREYSSE: Education and outreach.

5 MS. SHAHEEN: Okay.

6 DR. BREYSSE: In fact, just mention, so we support  
7 the pediatric environmental specialty units, which is  
8 also meant to be a medical resource for pediatricians  
9 in particular.

10 MS. SHAHEEN: Okay. One last final question on  
11 the national study. As you're looking at criteria for  
12 other communities that might be involved, and you  
13 alluded to the fact that a majority of them are  
14 military base, potentially --

15 DR. BOVE: For AFFF.

16 MS. SHAHEEN: Right, AFFF. Is there any other --  
17 you know, again, 'cause this might help us in terms of  
18 coalition building for funding, any other criteria or  
19 things that you're thinking about relative to which  
20 communities might best be suited to be part of a  
21 national study?

22 DR. BREYSSE: So that's all stuff -- you know, as  
23 Frank has alluded to, there's no always just very clear  
24 right answer when you design an epi study, about what  
25 to include, what not to include. There are different

1 approaches, different questions you might ask.

2 So if you start with the notion that we want to  
3 understand firefighting foam, because it's a unique  
4 mixture and it's got a lot of components that are  
5 different from you might see in a community that's  
6 exposed from a manufacturing contaminated place. In  
7 that situation you might want to say, we're going to  
8 stick to places where firefighting foam is used, and we  
9 want to eliminate ones where it's not used because we  
10 want to look at this mixture. All these things are  
11 mixtures, first off.

12 But if we want to -- if we decide we want to look  
13 at, more broadly, at what the profile of risk is for  
14 PFAS as a family of chemicals, not AFFF as a subset of  
15 that, then you would expand it more broadly. So those  
16 are all things that have to be discussed, and, and --  
17 but the strengths and weaknesses of doing a broader  
18 study versus a more narrow study debated, the resources  
19 to expand it would need to be discussed, the  
20 feasibility to do it needs to be discussed. That's all  
21 part of what we engage in as we pursue a national  
22 study. Is that fair?

23 MS. CARMICHAEL: All right, my name's Lindsey  
24 Carmichael, and I'm wondering if you can speak to what  
25 you see as the next steps for your agency with respect

1 to our community, in particular how you see the  
2 physician guidance or education document. I wasn't  
3 under the impression that that was finalized. It is  
4 finalized? Okay. I didn't realize that.

5 DR. BREYSSE: Very, very recently.

6 MS. CARMICHAEL: Okay. Yeah, so just next steps,  
7 what do you see, moving forward, for your work with the  
8 Pease community?

9 DR. BREYSSE: We'd be happy to engage in any  
10 outreach activity that we could partner with you to do.  
11 And so we can sit down and talk about what that is,  
12 whether it's direct physician outreach, whether it's  
13 more community outreach or if it's a combination of  
14 both. We'd be happy to participate in that as much as  
15 possible, and we have a regional office in Boston  
16 that's just committed to providing that support.

17 CAPTAIN SOMERS: And I believe -- but I believe  
18 when the state started their blood serum sampling there  
19 was some outreach to physicians, so we would probably,  
20 you know, go back and look at that, and use those  
21 networks again, because they're networks that are  
22 established, and Kim McNamara, she's not here tonight,  
23 she might have additional networks for, specifically  
24 like this Portsmouth area. We would reach out to them  
25 too. So we can certainly do that again.

1 MR. DIPENTIMA: Can I add to that?

2 CAPTAIN SOMERS: Yeah.

3 DR. BREYSSE: We're not done. We'll go back.

4 MR. DIPENTIMA: Rich DiPentima. I want to add to  
5 that because the CAP -- before the CAP was set up we  
6 did a lot of work working with the medical health  
7 community, in Portsmouth and beyond. There were  
8 webinars set up that were done by Dr. Wolfe down at  
9 Children's Hospital. We had worked with Dr. Chan. A  
10 lot of information went out to local healthcare  
11 providers. A lot of this groundwork has already been  
12 done in terms of what kinds of health effects  
13 physicians might want to be looking for in their  
14 patients that have been exposed to the PFOS and PFOA.  
15 So this is not new. This has been out there. It may  
16 need to be reinforced with the community, but this was  
17 done two and a half years ago, and that information is  
18 still viable, it's still accurate. Unfortunately the  
19 problem we still face is that we lack the studies to  
20 validate whether the work that is being suggested  
21 possibly to be looking for, for health effects, is  
22 valid or not. So without the health studies that we  
23 need to do and without the funding to do those health  
24 studies, we're stuck in neutral, and that's where the  
25 quagmire is at this point.

1 DR. BREYSSE: But the whole world's in that  
2 position, right, because this is an emerging  
3 contaminant. There's enough information to worry about  
4 it, and the data aren't there to say exactly what you  
5 need to do, unfortunately.

6 But the other thing I'll mention, that maybe  
7 Tarah, you can touch on this as well, we're completing  
8 a public health assessment for the community as well,  
9 and that report will be coming out.

10 CAPTAIN SOMERS: Yeah, so ATSDR, several years  
11 ago, when this first started with the Pease community,  
12 like we do with many other sites, we are writing two  
13 health consultations. One is for the public drinking  
14 water system and one is for the private wells that were  
15 around the Pease community. So those are two documents  
16 that will be created by ATSDR. They're in review now.  
17 They're -- we have a draft. They're in review. I  
18 can't give you an exact timeline of when we'll have  
19 them, unfortunately.

20 Again, because these are contaminants that are new  
21 for us and other agencies to deal with, we wanted to be  
22 sure that the methodology we're using we can apply  
23 consistently across the country as more of these sites  
24 come up and more documents are written, and that we're  
25 using the best available science that's out there right

1 now for us.

2 And again, like you've heard this evening, PFOA  
3 and PFOS, there's more information on that. There's  
4 more numbers to compare to, if you will, so if we have  
5 numbers in the drinking water system, there are some  
6 reference doses we can compare to, to decide if this is  
7 potentially a health effect or not a health effect for  
8 the community. For some of the other contaminants in  
9 the AFFF foams, there's not a lot of information out  
10 there yet to compare to, so it has taken longer than we  
11 had initially hoped it would take, but, you know, we  
12 want to make sure the best document we can get out  
13 there is out there. So those two documents are still  
14 coming.

15 DR. BREYSSE: And then we have to be careful that  
16 we're consistent across the country, because we have  
17 different regional offices producing similar documents,  
18 and we don't want to be saying things even subtly  
19 different from -- to one community than we are saying  
20 to all communities. So that creates an added, I think,  
21 challenge to us to make sure that that's as right as we  
22 can make it.

23 MS. CARMICHAEL: Lindsey Carmichael is my name.  
24 So can you speak a little bit to the process going  
25 forward with regard to completing the Feasibility

1 Assessment?

2 DR. BREYSSE: So we will get comments back from  
3 the community. We'll address those comments. We'll  
4 have another round of discussion with you. Obviously  
5 there may be some comments that we can't address, and  
6 we want to make sure we discuss that, and we round that  
7 out as best as possible. And then at that point we'll  
8 address them, and we'll reach some consensus about what  
9 we were able to change and not change. And we'll call  
10 it a final Feasibility Assessment at that point. And  
11 what was the time frame you asked?

12 COMMANDER MUTTER: June 30<sup>th</sup>.

13 DR. BREYSSE: June 30<sup>th</sup>.

14 MS. CARMICHAEL: Thank you.

15 DR. BREYSSE: Now, if you can get comments to us  
16 quicker, you know, we'll address them, but we wanted to  
17 make sure we gave you a reasonable period of time.

18 MR. HARBESON: Rob Harbeson. I just want to  
19 follow up on a comment that Stefany made with regard to  
20 this potentially being a first step as part of the  
21 national study. I know we're looking at a cross-  
22 sectional study, and so we're only looking at certain  
23 endpoints because of the numbers of people we have  
24 available to test, but obviously the value of a  
25 national study is looking at larger numbers of people

1 and getting results across the board. So would we be  
2 desirous of expanding the data that we collect as part  
3 of this study so that it can be relevant as part of a  
4 later national study or are those two necessarily  
5 discrete and separate things?

6 DR. BOVE: No. And I mean, we broke it up at the  
7 endpoints into three criteria: Feasible, not feasible,  
8 possible feasible. I mean, we would -- if we thought  
9 that we could get funding to do several sites, okay,  
10 like the Philadelphia sites, for example, or maybe  
11 Colorado Springs sites or so on, then those endpoints  
12 that we had as possible now become feasible, and we  
13 would collect the data for that anyway. So we can  
14 collect the data for almost all of the endpoints we  
15 mentioned here. The question is whether you're going  
16 to be able to say something credible about it,  
17 believable.

18 MR. HARBESON: So it's what's relevant to this  
19 study versus what could be participated in the larger  
20 study later. I just don't want us to lose an  
21 opportunity to collect the data.

22 DR. BOVE: Right. Now, it's more of, if we just  
23 did Pease, what endpoints could we do something with  
24 and make a case for, credibly, and what endpoints --  
25 the uncertainty would be so large that it would be

1           useless, pretty much, to look at that. But if -- you  
2           could still collect that information, even if -- you  
3           know, but what we're talking about in the national  
4           studies, we're actually looking at a couple different  
5           approaches. One is based on the Pease Feasibility  
6           Assessment, that approach, looking at biomarkers of  
7           effect, like we're talking about here. Another  
8           approach is to use a questionnaire and ascertain  
9           outcomes that way, with medical record review, for  
10          example. So that would be a different approach. And  
11          using biomonitoring data for that. Other approaches --  
12          a lot of it has to do with how also we're going to  
13          define exposure. We're going to have biomonitoring  
14          data for that or are we going to be able to predict  
15          what the serum levels are based on what's in the  
16          drinking water, which is possible for -- at least for  
17          PFOA and PFO, okay. So we're looking at all these  
18          different possibilities. But the Pease approach here  
19          is definitely one that we're thinking about expanding  
20          to larger sites. I mean, that's definitely on the  
21          table.

22                 MR. HARBESON: Well, and I think to that end I  
23                 think I'd -- I would personally like to see us collect  
24                 as much data as we can towards as myriad endpoints as  
25                 we can, because I think we're all interested in the

1 information that could come out of a national study,  
2 really for our parents and for our community.

3 DR. BOVE: Right, and again, this isn't a  
4 protocol, though it looks a lot like one. I want to  
5 point that out. But it's not a protocol. And so in a  
6 protocol we would actually define what endpoints we're  
7 going to look at and how we're going to collect it in a  
8 lot more detail.

9 DR. BREYSSE: And there's a subtle difference  
10 here. We didn't write this up as a pilot study for a  
11 national study. We might have concluded things  
12 differently had that been the case. So if we start  
13 going down that road, we will, as we said, we'll  
14 reconsider, kind of, some stuff that might provide some  
15 interesting input that might provide good pilot data.  
16 But the feasibility criteria we put here was really  
17 just in terms of what can we do here, and in terms of  
18 public significance here, we can't collect data that we  
19 don't think has any public health significance 'cause  
20 we just don't think we have the sample size that we  
21 need.

22 DR. BOVE: And all these endpoints that we have in  
23 here have been looked at, either at the C8 study or in  
24 using NHANES data, with larger populations. So they're  
25 feasible if you can get more sites involved.

1                   COMMANDER MUTTER:  Would you like to break or  
2                   continue on?

3                   DR. BREYSSE:  I'll defer to you all.  Should we  
4                   keep going or is there a need for a facility break?

5                   MS. AMICO:  I'd like to keep going, I mean, just  
6                   the time and I want the community to have an  
7                   opportunity for input too.

8                   DR. SCHAIER:  Thanks.  Hi, Laurel Schaidler.  I  
9                   wanted to follow up on the discussion of mixtures.  We  
10                  know that AFFF is a complex mixture of many different  
11                  compounds so when we're doing blood tests now we're  
12                  measuring PFOS and PFOA and the ones that stick around  
13                  in our body for a long time, but over the years people  
14                  have been exposed to a complex mixture of them, and so  
15                  to some degree we might be looking at the health  
16                  effects of PFOS or PFHxS, and to some degree it might  
17                  be this kind of cumulative mixture, and we're not  
18                  identifying all those compounds.  So I guess I was  
19                  wondering if you could comment on that challenge and  
20                  how to tease apart and attribute any effects to one  
21                  compound versus another, and whether that raises  
22                  challenges for combining across sites, if you think the  
23                  composition of foam is kind of similar enough, or if  
24                  there might be differences in the foam used at  
25                  different sites.

1 DR. BOVE: Well, if you just look at AFFF foam,  
2 and what we're seeing in the both biomonitoring and  
3 within the drinking water, it would be difficult to  
4 tease out PFOA, I think, PFOS and PFHxS, for example,  
5 'cause they're sort of correlated to a great extent in  
6 the AFFF, so it would be difficult. So what you want  
7 to do there, if you really wanted to tease this out,  
8 you would design a study to include other types of  
9 mixtures. So you might want to include a site where  
10 the PFOA was big and another site where PFOS was key  
11 and PFHxS wasn't there, and so on, so you would be --  
12 may be able to tease things out if you did it that way.

13 So -- you know, if -- it's similar in many ways to  
14 how we looked at disinfection byproducts in the past.  
15 You know, we don't know to this day what most -- half  
16 of the disinfection byproducts in the drinking water,  
17 what they are, you know. There are so many of them.  
18 And when we study it we look first at trihalomethanes  
19 because that was measured, you know, and we said that  
20 these cancers were related to the trihalomethanes but  
21 it could've been in one of the other contaminants in  
22 the water we didn't even measure or didn't even know  
23 existed, other than theoretical.

24 So, you know, it's kind of -- it reminds me of  
25 that situation, the PFAS situation, where you get

1 different kinds of mixtures of these chemicals in the  
2 water. You only measure a small number of them. You  
3 only have information on a small number of them. And  
4 so that's all you can -- you know, it's sort of looking  
5 under the light post for the key thing, but that's --  
6 you're stuck with that because that's where the science  
7 is, so -- and I don't know if that answers your  
8 question.

9 It really depends on what you want to do and  
10 accomplish in a study. If the goal is to see if AFFF  
11 is associated with particular diseases, and the  
12 mixtures are kind of similar, then that's -- you design  
13 the study that way. If you wanted to tease out  
14 individual effects of PFOA, PFOS and PFHxS, first you  
15 need a lot of people to do that, for one thing, but  
16 also you'd have to, I would think, vary the -- have  
17 different populations exposed to variable amounts of  
18 that mixture.

19 DR. BREYSSE: But that kind of research would lend  
20 itself to animal research very, very handily as well --

21 DR. BOVE: Yeah.

22 DR. BREYSSE: -- so we are working closely with  
23 the National Toxicology Program at NIEHS and other  
24 toxicology groups who are investigating the effects of  
25 these chemicals in animals, and whether there might be

1           some clues as to what that might help us look at as  
2           well.

3           But we are, you know, able to measure in our  
4           current biomonitoring suite, nine or ten different PFAS  
5           chemicals, so we will look for the family of chemicals  
6           as well. And we are also developing urine methods,  
7           'cause some of the shorter chain chemicals are excreted  
8           much more rapidly, and so you need a urinary method  
9           there. So we're looking at urinary measures as well.

10          And the industry is changing their formulations  
11          all the time, so it is a bit of a moving target, as  
12          they try to move to chemicals that are less  
13          biologically persistent, less environmentally  
14          persistent. That doesn't mean they don't have any  
15          toxicity, but, you know, I think it's still a good move  
16          to make, and so the industry is reformulating all the  
17          time, and so that presents a challenge as well.

18          DR. SCHAIDER: Okay. I just have a couple more  
19          questions. One was how you go about reporting results  
20          back to participants about their blood PFAS levels and  
21          the other health endpoints that you're looking at.

22          DR. BREYSSE: So we don't do that directly 'cause  
23          right now the biomonitoring that's done, you know, it's  
24          done at the state level, but we have model letters that  
25          we've developed that could be a resource for states,

1           that could help us communicate with people the results,  
2           feedback as part of the tool kit that we've developed  
3           to provide to state health departments.

4           DR. SCHAIER:   And do you do any like testing of  
5           the report back in the community, to see how people  
6           respond or to provide any suggestions for how those  
7           results were reported back?

8           DR. BREYSSE:   We don't have any, but if you want  
9           to help us with that, that'd be great.

10          DR. SCHAIER:   Yeah.  Well, I'd be -- we'd be  
11          happy to do it at Silent Spring Institute.  We do a lot  
12          of that, so --

13          DR. BREYSSE:   Yeah.

14          DR. SCHAIER:   -- definitely.  And then one last  
15          question.  I know we kind of moved on from the funding  
16          question, but I guess I'm still trying to figure out a  
17          little bit the difference between the situation here  
18          and the situation at Camp Lejeune and how much of that  
19          was TCE being a regulated drinking water contaminant  
20          and whether that explains some of the difference or  
21          just kind of what the difference is in terms of  
22          responsibility for health study.

23          DR. BREYSSE:   So I'm going to have to defer to  
24          some of our colleagues who have a longer history at  
25          Camp Lejeune.  Camp Lejeune predates me by a decade or

1 two, so I'm not quite sure, you know, how we got to the  
2 point where the DoD stepped up to fund the studies.

3 DR. CLAPP: Senator Burr.

4 DR. BOVE: Yeah, I was going to say that the CAP  
5 was very effective in getting their elected  
6 representatives to put -- and to encourage the DoD to  
7 fund it, so that's --

8 DR. SCHAIER: That's our challenge.

9 DR. BOVE: Yeah, that -- I don't think that, for  
10 example, that the present study we're involved with,  
11 the cancer incidence study, would've gotten funding  
12 without that kind of effort by the CAP. And also I  
13 don't think the water modeling, that was key to all the  
14 studies, would've been completed without that kind of  
15 effort. And also the CAP, by the way, not only helped  
16 on that end, but provided important information that we  
17 wouldn't have gotten otherwise, so there's -- all these  
18 studies that we were able to do at Camp Lejeune, and  
19 continue to do, a lot of the key information that went  
20 into those studies were provided by the retired Marines  
21 and civilian workers themselves to us. So that they --  
22 the CAP and others who were working with the CAP played  
23 a key role on all this.

24 DR. SCHAIER: Okay, thank you.

25 MS. AMICO: Andrea Amico. I guess one final

1 question I have is that we've heard tonight that  
2 there's no commitment for funding, but I want a  
3 commitment from ATSDR that this process is going to  
4 continue to move along, so we hope that there will be  
5 funding, and we're going to fight for it, so I would  
6 hope that we're going to continue with the study design  
7 and moving forward. We're not going to put this  
8 process on hold because we don't have a funding source.  
9 So do we have your commitment that --

10 DR. BOVE: That's not on hold.

11 MS. AMICO: Okay. Thank you.

12 MS. DAVIS: My name's Alayna Davis. I'm going to  
13 go back to Lindsey's question about follow-up from  
14 tonight and what the next steps are. So you said that  
15 you want comments from the community and from us, so  
16 can you give us an idea of specifically what you need  
17 for feedback from the community? And when I say that I  
18 mean are you looking for them to say I want this health  
19 endpoint versus this one, as an example, and then who  
20 do they contact? If there's an email or some method,  
21 how do they get that information to you?

22 DR. BREYSSE: Well, I think we're specifically,  
23 you know, expecting comments back from the CAP as  
24 representatives of the community. So when we talk  
25 about that, we're really speaking to you.

1 DR. BOVE: However, there's no reason why you  
2 can't bring this up with your neighbors, whoever, who  
3 are interested and getting feedback that way and  
4 getting that to us. That would be important as well.  
5 As I said, the CAP at Lejeune provided a lot of  
6 information, but some information they sought out from  
7 other retired Marines, and people actually -- people  
8 who ran the water system at the base too. There was  
9 efforts there too. So that, you know, so it's up to  
10 you, what, what information you can gather from your  
11 community that might be important in this regard, so  
12 that's -- it's up to you.

13 MS. DAVIS: Okay, so the CAP is going to  
14 disseminate the information to you on the feedback from  
15 the community.

16 DR. BOVE: Yeah.

17 MS. DAVIS: There isn't going to be a specific  
18 email or anyone that the community outside of us would  
19 have available to them?

20 DR. BOVE: Well, we would rely on the CAP to do  
21 that, actually, 'cause I think that --

22 MS. DAVIS: Okay.

23 DR. BOVE: -- you would be better placed to do  
24 that anyway than we would.

25 DR. BREYSSE: And then you could relay it back to

1 us.

2 DR. BOVE: Yes.

3 MS. DAVIS: Okay. Just wanted to get it clear so  
4 that we know it going forward, so that if someone asks  
5 us how do we get the information, that's how we do it.

6 DR. BREYSSE: Yeah. Unless you prefer some other  
7 mechanism, but I think that's probably the most  
8 efficient way to make sure we capture it.

9 MS. DAVIS: Okay.

10 DR. BOVE: And actually the Feasibility  
11 Assessment's already changed to some degree based on  
12 input from the CAP already, so, you know, we're  
13 responding to it. I mean, we really do appreciate the  
14 feedback, and we need it.

15 MS. DAVIS: Okay. Thank you.

16 MS. DALTON: Hi. Michelle Dalton. I have one  
17 last question, 'cause I do want to give the audience  
18 the opportunity to comment, 'cause I know that it's  
19 starting to get late. My question was regarding the  
20 blood samples that DHHS had collected back in 2015.  
21 Aside from the consent issues, are those samples  
22 helpful for you and this study or for any other study  
23 that we're considering? And the reason I ask is  
24 because we have already gone through taking blood from  
25 children and adults, and we have been pretty vocal in

1 the entire process that we wanted to make sure that  
2 DHHS has kept those samples, and they did not discard  
3 them. So I want to make sure that, number one, that  
4 they're not discarded, since we have been vocal about  
5 that from the beginning; and two, are they helpful to  
6 you?

7 DR. BOVE: I can't answer the first one. I think  
8 that they probably will be discarded because I think  
9 that's what the whole consent process was about. But  
10 that doesn't mean we can't use that information, okay.  
11 And actually in the Feasibility Assessment we talk  
12 about how that could be used. For those who have  
13 already given blood, we can use their new blood testing  
14 to compare that, and help with our estimate of what  
15 historically their serum levels were. Okay, but we  
16 would -- in order to do these studies we'd have to  
17 collect new blood because you can't look at these  
18 biomarkers. Even if they consented to do something  
19 additional with their blood there wasn't enough  
20 collected, at least from the children, to actually look  
21 at a lot of these biomarkers. So we'd have to collect  
22 blood to get that.

23 MS. DALTON: And then the consent is actually  
24 using that data. That's what we would need to go back  
25 and get that consent for.

1 DR. BOVE: Well, we -- yeah, I guess we would  
2 probably put that in there, but I mean, the person  
3 could also just tell us what their blood level was.

4 MS. DALTON: Okay.

5 DR. BOVE: I guess we'd have to consent for that.  
6 That's not clear to me.

7 DR. BREYSSE: I think if we want to go back and  
8 look at it, in terms of some biomarkers of vaccine  
9 effectiveness in a blood sample that was collected in  
10 2015, we'd definitely need to ask their permission to  
11 reanalyze --

12 MS. DALTON: Yep, absolutely.

13 DR. BOVE: But I'm just saying the only  
14 information we have from that 2015 sample is what the  
15 PFAS level is.

16 MS. DALTON: Okay.

17 DR. BOVE: And I'm assuming that, that you can't  
18 get any other information out of that. And for that we  
19 can just ask the person what their level was. I don't  
20 think you'd have to --

21 MS. DALTON: I just want to make sure that all of  
22 the efforts that we have gone through back in 2015 are  
23 not going to just be discarded and wasted, since we did  
24 go through all of those efforts. And if we can re-use  
25 some of that information, great, but...

1 DR. BOVE: Well, I'm saying that one way we can  
2 use it is to help us in the modeling of historical  
3 serum levels.

4 MS. DALTON: Okay.

5 DR. BOVE: We can use that information plus the  
6 sample we get -- new, new blood sample, to help us with  
7 that, so that's -- so it wouldn't be wasted, just for  
8 that reason, but I don't think we're going to be able  
9 to go -- I don't think these samples are stored so we  
10 can't look at it for other endpoints.

11 DR. BREYSSE: If I can just raise a point of  
12 order, we probably have five more minutes before we  
13 should probably open it up to the audience, since, you  
14 know, that -- the agenda is. And Dr. Chan, you were  
15 going to --

16 DR. CHAN: Yeah, I just have a quick comment to  
17 that. So I'm checking to see if the blood samples have  
18 been discarded or not. But the consent was that we  
19 would -- the consent said that we would hold the blood  
20 samples through the duration of biomonitoring, and  
21 whether the 2015 biomonitoring and the 2016  
22 biomonitoring is a continuation, I'm not -- I'm not  
23 sure what happened with the blood samples.

24 I will say that we also did share de-identified  
25 numbers, blood testing numbers, with the ATSDR, as a

1 public health partner, to help inform their discussions  
2 and their investigation, so we do have a mechanism, and  
3 in fact we did share, for internal use only, some of  
4 the blood testing results with ATSDR.

5 MS. DALTON: Okay. Thank you.

6 DR. BOVE: Right, and that was used in the  
7 Feasibility Assessment.

8 CAPTAIN SOMERS: There's also just like a clinical  
9 point -- this is Tarah with ATSDR, Tarah Somers -- you  
10 know, when blood's collected it's not always collected  
11 the same way. You know, you've gone and got blood  
12 draws at the doctor, and sometimes they store it in the  
13 pink tube or sometimes the blue-capped tube. So the  
14 samples that were drawn, like if you wanted to go back  
15 and use those to look at something like cholesterol  
16 levels, the HDLs, LDLs, triglycerides, you might not be  
17 able to use that blood anyway because it wasn't  
18 collected as like a fasting blood sample, to check for  
19 cholesterol. So, you know, that's an important thing  
20 to remember, just 'cause you have a blood sample, it's  
21 not a blood sample, a blood sample -- you know, you  
22 can't use it for everything you might want to look at,  
23 so just keep that in mind.

24 MR. DIPENTIMA: Rich DiPentima. I just wanted  
25 to -- yeah, I was going to say the same thing. But

1           again, going back to the CAP, this discussion came up  
2           way back when with the CAP, about the blood samples  
3           that were collected, and we did suggest at that time  
4           that the blood samples be retained in case they might  
5           be of some use during any future studies. I don't know  
6           what happened but we did bring this up two and a half  
7           years ago, so this is not, again, a new item of  
8           discussion.

9           DR. BOVE: Wait, wait wait. For the Feasibility  
10          Assessment we assumed that they would be discarded so  
11          we didn't take that into account.

12          MR. STONE: Tim Stone. Frank, you sort of raised  
13          a point before, when you talked about disinfectants in  
14          water and some of the other studies, and one of the  
15          things that has concerned me about a lot of the  
16          discussions we have, we have this laser focus on PFOA,  
17          PFOS, but there were also other exposures that take  
18          place, there's the background exposures, which we've  
19          seen in the national average numbers, and things like  
20          that. How do you deal with that in these studies, when  
21          some of these other exposures may be at least as much  
22          of a risk or more than what we're looking at right now,  
23          when you -- because of this -- obviously it's out  
24          there. We're all exposed to it. We've all been  
25          exposed to it. How do we put this into perspective and

1           how might we better educate everyone about those  
2           exposures and the risk, and reduce -- I think we've had  
3           some discussion about what proactive things can be  
4           done. It's more than just PFAS that we're talking  
5           about here right now.

6           DR. BOVE: Well, I mean, the sites we're -- I  
7           mean, Pease is one and the other sites that we've been  
8           thinking about have had quite a bit of contamination in  
9           their drinking water so that they would overshadow the  
10          background -- the so-called background levels you'd  
11          get, that you see in NHANES. And you can see in NHANES  
12          too that the levels for PFOA and PFOS are sharply  
13          declining over time, so about ten, 15 years ago PFOS  
14          levels were very high, higher than at Pease, but as  
15          they come down, and you can see if you compare it now,  
16          that -- like if you compare 2015 Pease blood levels  
17          with data from NHANES, it is roughly similar in the  
18          period, you'd see the difference between the two, so  
19          the drinking water does play a major role in the serum  
20          levels, okay.

21          So we would -- in designing a national study we  
22          would want to focus on those sites where there was  
23          considerable drinking water contamination would be  
24          there -- you know. I mean, it would be exposure-driven  
25          in that way. And we would then pick a population that

1 was similar, like we're talking about at Pease, but not  
2 exposed to that drinking water, so you would -- they  
3 would have that background exposure level to compare  
4 the two, you know, that way.

5 The analogy with disinfection byproducts is  
6 interesting because I did a study where I saw neural  
7 tube defects increased with trihalomethane disinfection  
8 byproduct exposure, but I was using trihalomethanes as  
9 a surrogate. Another study done in California didn't  
10 see anything with neural tube defects. And it really  
11 depended on what the mixture was, and -- but a lot of  
12 that mixture we couldn't measure. So these are -- it  
13 does become complicated. If anything -- if the PFOS  
14 situation is anywhere similar to the disinfection  
15 byproducts, there's a lot of confusion as to what these  
16 contaminants can cause because the different mixtures  
17 and material we can't even measure may play a role in  
18 finding here of a positive association with, say, a  
19 cancer or birth defect or whatever, and not finding it  
20 here. So these are issues that -- this is part of the  
21 uncertainty we're going to be dealing with until more  
22 research is done in this area.

23 DR. CARIGNAN: Courtney Carignan. And just to  
24 elaborate on that, so the -- if you have a variable  
25 that's varying in a different way than the variable you

1 are interested in, than that misclass -- it's going to  
2 be a non-differential, so you're not going to -- you're  
3 able to look at the contaminant that's of interest.  
4 Does that make sense? So you know, there's other  
5 things that are concerning unless -- unless that  
6 contaminant, that exposure tracks with the PFOS  
7 exposure, then it's not going to affect your analysis.  
8 But I mean, it's certainly true that there's other  
9 contaminants in New Hampshire, like arsenic, that can  
10 affect immune function, and the New Hampshire birth  
11 cohorts phase is designed to look at that, out of  
12 Dartmouth, and I've been trying to get them to, you  
13 know, extend their cohort to include kids at Pease  
14 because they have a whole, you know, method and  
15 sampling protocol that would be really great for  
16 looking at a lot of these questions, but the question,  
17 then again, comes back to funding. Their funding comes  
18 from NIEHS. They would have to write a grant  
19 specifically at that, and they don't want to do that  
20 for some reason, so anybody who knows how to convince  
21 them to write a grant on this, I think that would be  
22 great.

23 DR. BOVE: We are exploring that, actually.

24 DR. CARIGNAN: Oh, yeah.

25 DR. BOVE: But we haven't been successful either

1           yet.

2           DR. CARIGNAN: Yeah, I haven't been successful  
3           with that conversation with them either. But now I'm  
4           trying to remember what my question was. Oh, back to  
5           the historic blood samples, so yeah, we have asked for  
6           that repeatedly, and with the immune titer, you need a  
7           very, very small volume, so even if out of the 300  
8           children, only, you know, a third of them had  
9           sufficient serum, to be able to test immune titer,  
10          having that before DTaP vaccination -- so DTaP  
11          vaccination occurs at one year of life, a couple times  
12          before that, at one year of life, and again before  
13          entering kindergarten. And so if you have a child who  
14          really have blood levels when they were three, and you  
15          could look at immune titer in that child, and then you  
16          had got, you know, blood sample after, then you  
17          wouldn't have to do -- number one, you wouldn't have to  
18          do two blood draws on them to get that pre- post-, all  
19          right, getting blood from children is complicated, and  
20          it would also really improve your sensitivity to be  
21          able to see an effect, so again, I think we go over  
22          this at every meeting, we can store the blood samples  
23          and be able to re-analyze them, at least just for  
24          immune titer, I think that would be really helpful.

25

1           **QUESTIONS FROM THE AUDIENCE**

2                   DR. BREYSSE:  So if anybody from the community  
3                   would like to ask a question, raise your hand and we'll  
4                   bring the microphone around.

5                   COMMANDER MUTTER:  Well, if we can have them come  
6                   here so we can pick them up on the...

7                   MR. SOMSSICH:  My name is Peter Somssich.  I'm a  
8                   State Representative from Ward 3 in Portsmouth, which  
9                   includes Pease.  And even though this is the first time  
10                  I've joined this group I've been following what was  
11                  going on.  And first of all, I just want to underscore  
12                  what was just said.  I sincerely hope that none of the  
13                  samples were destroyed because I'm sure the community  
14                  made a big effort to get those samples and thought --  
15                  and what I have seen so far, a bigger effort than  
16                  anyone else has made in this whole enterprise.  So I  
17                  hope those samples were not destroyed because -- and  
18                  I'm a scientist so I very much appreciate the  
19                  complexity and difficulties you're working with, but I  
20                  also know that sometimes, by the time you get around to  
21                  your study you might find, wait, there's something we  
22                  want to look at, and it just happens we have those  
23                  samples from 2015, so don't destroy any samples,  
24                  period, okay?  Number one.

25                  Number two, while I appreciate talking about

1 statistics and scientific studies and all that stuff,  
2 very important, but the bottom line is we don't have  
3 the money, okay. Without money you do nothing, okay?  
4 So I heard the Colonel. With all due respect, I mean,  
5 he's saying what the Air Force told him to say. I  
6 presume it's the lawyers of the Air Force that told him  
7 to say this, that they can't fund the study, but I also  
8 heard that they accept responsibility for what  
9 happened. Well, then you have to accept liability,  
10 okay? With responsibility goes liability, and  
11 liability means you have to pay for it, and the  
12 immediate -- remediation does not just include the  
13 wells here in Pease, it includes the health effects of  
14 children. That's mediation too. That should be part  
15 of the mediation effort, and you need to fund that  
16 because you are liable for it. You have to find your  
17 own money. You have to turn over the money to fund the  
18 study.

19 And I'm also surprised why they would look at the  
20 study before it's published, what just because they  
21 paid for it. They are the people who are liable. I  
22 mean, no other place could I hear somebody who's being  
23 studied for a potential pollution have a right to look  
24 at a study before everyone else sees it. I don't know  
25 what they looked it. I'm sure it's the lawyers looking

1 at the liability again.

2 So I think, before we talk about all these  
3 important issues, medical studies and whether it's  
4 cross or latitudinal or this, I think we need to talk  
5 about getting the money as quickly as possible because  
6 everything else is just a waste of time. We can talk  
7 about all kinds of interesting things but people want  
8 action; they don't want talk, okay. So we're moving  
9 ahead, but number one now is money, and everything else  
10 is secondary. You'll have plenty of time to talk about  
11 everything else once there's something happening that  
12 there's a funding source identified, and I think the  
13 Air Force is the funding source, period. So thank you.

14 MS. MESSMER: Representative Mindi Messmer from  
15 District 24. I have a question about the funding issue  
16 and the legal issues that Senator Fuller Clark and  
17 Stefany brought up, Shaheen. Colonel, I have a  
18 question for you. I heard you say the word community.  
19 I looked back at a bunch of studies, public health  
20 studies, that have been done by the Air Force. They  
21 were all done on veterans and servicemen and their  
22 families. And when you said community, is that the  
23 legal point that you're trying to make, that because  
24 this is a community in a closed BRAC base, that you're  
25 saying that that's not something you're liable for?

1 COLONEL COSTANTINO: It is a distinction in that  
2 clearly we have very different authorities with our own  
3 members, our own employees, so we have done those, and  
4 we can do those 'cause the rules are different. I  
5 can't give you the legal sort of definition and  
6 explanation of where the line is on that, but there is  
7 an aspect of that piece of the -- like I mentioned  
8 before, that our authority doesn't -- we can't get  
9 involved in drawing blood from community members and  
10 looking at medical records and all that other stuff,  
11 like I mentioned, so there is an aspect to it that is  
12 what you're hitting on, yes.

13 MS. MESSMER: So my follow-up question to that is,  
14 then, the base was closed in 1991. You had active  
15 service veterans here, and you were -- veterans  
16 population, that the study, a retrospective study,  
17 should be done in those people to make sure that their  
18 health effects are being looked at as well, from prior  
19 to the base closing. That's something the Air Force  
20 can pay for. It is part of the veterans' -- exposure  
21 to veterans.

22 COLONEL COSTANTINO: I'm sorry, was there a  
23 question? I didn't --

24 MS. MESSMER: Well, it was kind of a statement.

25 COLONEL COSTANTINO: Okay. All right, I just

1 wanted to make sure I didn't miss it. Okay.

2 MS. CONDON: So my name is Suzanne Condon. I'm  
3 the somewhat recently retired Associate Commissioner of  
4 Public Health for the State of Massachusetts. In that  
5 role I directed the environmental health programs for  
6 some 30 years and have conducted quite a large number  
7 of environmental health investigations, including those  
8 where I dealt pretty routinely with the military.

9 And I think that you have an opportunity to really  
10 think a little bit outside the box on this. I mean, we  
11 have been talking about another branch of the military  
12 and Camp Lejeune, but I do recall that there was never  
13 a precedent for the Air Force to fund a public health  
14 center near an Air Force base, and in the 90s, I got  
15 the Air Force to fund the environmental public health  
16 center on Cape Cod, and it staffed several people to  
17 help deal with community environmental health  
18 questions, and so I think there's a little bit of  
19 precedent there.

20 I also think that, if we look back at some of what  
21 was done in Massachusetts, there was a situation where  
22 we found ethylene dibromide in our cranberries that  
23 came as a result of the military using that particular  
24 contaminant on Cape Cod, and I believe that the  
25 military spent significant resources to try to help

1           determine whether the EDB was on the berries or in the  
2           berries. At the end of the day it didn't matter, but  
3           there was precedent in providing reimbursement for all  
4           of our cranberry growers who lost their crops over a  
5           period of years.

6           I also think that -- again, I think the Air Force  
7           paid for the PAVE PAWS radar station health  
8           investigation, and that was a community health  
9           investigation that involved doing monitoring in and  
10          around the community area so that we could make a  
11          determination as to whether or not the community's  
12          health was at risk.

13          So I guess all I'm saying is there might be some  
14          value for the CAP to think about some other areas  
15          where, not just the DoD, but indeed the Air Force, has  
16          funded some of these types of activities to address  
17          community health questions.

18          And why am I here? I grew up a stone's throw -- I  
19          probably have a closer drive back than some of the  
20          people who drove further from New Hampshire. I grew up  
21          in a town in Massachusetts about a half an hour away  
22          from here, and I've been following this, and following  
23          all sorts of things, including some of the recent press  
24          that you've been involved with, at which I'm a person  
25          who's been involved in cancer cluster investigations

1           for most of my career, so happy to sort of help and  
2           weigh in on any of that as well.

3           But anyway, from your neighbor, you know, just a  
4           little bit further away, if there's some way I can be  
5           helpful in bringing some of these issues to your  
6           attention and to the military's, I think it would be  
7           helpful because you have an opportunity to do something  
8           here in New Hampshire that you don't have in other  
9           parts of the country. You know, my own personal  
10          opinion, you can't -- we know we've got an exposed  
11          population here. We know we have what appears to be  
12          the numbers. I looked at what Dr. Bove put together as  
13          well. You've got some pretty compelling evidence to  
14          move forward on, so don't let perfect be the enemy of  
15          the good. Thanks. [applause]

16          MS. AMICO: I have a letter that someone sent me  
17          that they would like me to read. So Andrea Amico. I  
18          have a Pease community member send me a letter. She  
19          wanted her name to be anonymous, but she wanted me to  
20          read this on the record on her behalf.

21          Dear ATSDR members, my oldest daughter started at  
22          Discovery Child Enrichment Center in September of 1994  
23          at the age of six weeks. She was a powdered-formula-  
24          fed baby and attended daycare two days a week for the  
25          first five years and three days a week for her final

1 year, leaving Discovery in August of 2000. Her blood  
2 was tested for PFAS in 2015 and the results came back  
3 elevated.

4 At the age of 12 she was diagnosed with  
5 osteoarthritis in her spine, and has had multiple  
6 procedures to relieve her pain. At age 16 and 17 she  
7 endured multiple surgeries to remove cysts off her  
8 ovaries and was diagnosed with endometriosis. At age  
9 18 she was diagnosed with polycystic ovarian syndrome  
10 and continues to deal with these ongoing health  
11 problems to this day.

12 My youngest daughter started at Discovery Child  
13 Enrichment Center in September of 1997, and attended  
14 daycare there two days a week for five years, leaving  
15 in August of 2012. She was also six weeks old and a  
16 formula-fed baby when she started.

17 She has struggled with ongoing health issues most  
18 of her life, constant joint pain, concentration issues  
19 and being tired all the time, led to repeated testing  
20 for Lyme disease, lupus and arthritis. At one point we  
21 were told she was faking these symptoms just to get  
22 attention because all of her tests kept coming back as  
23 inconclusive. Hormonal issues surfaced at the age ten,  
24 which led to more doctors' appointments and more  
25 testing.

1           With the help of some great doctors my daughter  
2 was finally diagnosed and her symptoms validated.  
3 Between the age 14 and 17 she was diagnosed with  
4 polycystic ovarian syndrome with estrogen levels  
5 testing near 400 when they should've been 30;  
6 rheumatoid arthritis, which had to be diagnosed with  
7 Doppler ultrasound because she didn't have the  
8 rheumatoid factor or anti-CCP antibodies in her blood.  
9 She did consistently have an elevated ESR, which is a  
10 measure for inflammatory process, which is what led her  
11 rheumatologist to turn towards imaging to diagnose her  
12 joint pain issues. Fibromyalgia, secondary to her  
13 rheumatoid arthritis.

14           Hypothyroidism. This was also a challenge when it  
15 came to getting a diagnosis. Ongoing systems and  
16 repeated tests showed her TSH levels in the normal  
17 range. It wasn't until her endocrinologist tested her  
18 free T3 and her free T4 that her T4 was found to be  
19 low. Once she was put on thyroid medicine her symptoms  
20 improved.

21           IQ, neurobehavioral testing was done because of  
22 difficulties in school. Even though an average to high  
23 average range was noted, there was a considerable  
24 deficit in her processing speed. She was diagnosed  
25 with AD/HD. Low IGF-1, insulin-like growth factor was

1 found.

2 The continuing health issues of my younger  
3 daughter has resulted in ongoing blood tests, four  
4 tubes every three months for the last five years, heavy  
5 menstrual cycles and weekly nose bleeds. And it is for  
6 these reasons that I believe her PFAS levels came back  
7 below the national average when tested in 2015.

8 It has been stated that blood-letting is one of  
9 the only ways to rid your body of these chemicals so  
10 it -- so isn't it possible that you have a population  
11 of sick people who drank the water, but due to frequent  
12 blood loss relating to testing, donation or other,  
13 their PFAS levels came back much lower than they  
14 should? Would their health conditions not be counted  
15 or connected to the Haven well because of this?

16 My children belong to the youngest and earliest  
17 population that drank from the contaminated well, and I  
18 think they deserve to be included in this health study.  
19 For many years I have watched my children struggle with  
20 one chronic health issue after another. When they  
21 would ask me why they all of -- why they had all of  
22 these health problems, all I could say was I don't  
23 know. And while I still don't have the -- all of the  
24 important answers to that question, we do owe it to  
25 them to try and find out. Sincerely, a concerned

1 mother.

2 DR. BREYSSE: Any other questions? Comments?

3 UNIDENTIFIED SPEAKER: I think that mother did a  
4 very nice job on that report. Thank you.

5 DR. BREYSSE: Yeah. It's hard to follow.

6 MR. MALLOY: Hi, I'm Dennis Malloy. I'm a State  
7 Rep from Greenland and Newington. I'm not a scientist,  
8 but a couple of comments went by pretty quickly, I  
9 felt. My career was as a fund raiser, grant writer and  
10 other things. I heard the term grants and some grant  
11 activity, and I wanted to know if there was anything  
12 more you could say about that or what that would lead  
13 to or what possibilities that were there? I didn't  
14 catch everything that was being discussed or if it's  
15 really a feasible option for this.

16 DR. BREYSSE: Well, a university or other  
17 independent investigator can write a grant to different  
18 federal agencies to get resources to do research,  
19 independent of what we would do as part of ATSDR.

20 DR. CARIGNAN: Courtney Carignan. So there --  
21 Laurel and myself and some others have organized a  
22 conference that's taking place in Boston at  
23 Northeastern next month, to bring together, you know,  
24 people involved with PFOS contamination and responding  
25 to, you know, contaminant drinking water, and so at

1           that conference we're going to talk about -- so we've  
2           been thinking about, you know, what are other avenues  
3           to do studies to supplement what ATSDR is doing or if,  
4           you know, the funding doesn't come through.

5           The National Institutes of Environmental Health  
6           Sciences, NIEHS, they, you know, do environmental  
7           health research, and so they have grants that, you  
8           know, fund a lot of the studies that have been done on  
9           perfluorinated chemicals except the C8 health study  
10          which was through litigation, and so there are these  
11          children centers that are around the country. I think  
12          there's 17 of them. And they -- basically they're  
13          birth cohorts so they recruit women during pregnancy,  
14          and then they follow the children through childhood and  
15          into adolescence and puberty, and so there's one here  
16          in New Hampshire, the New Hampshire birth cohort study,  
17          and the primary contaminant they're looking at there is  
18          arsenic, but, you know, they collect and store blood  
19          and urine, and they ask all kinds of questions that are  
20          relevant to, you know, the questions that are being  
21          asked here. So it seems like some of these birth  
22          cohorts could potentially, you know, write grants to  
23          pull in communities that have these exposures and have  
24          these concerns, so one of the things we're going to  
25          discuss at that conference is, you know, trying to

1 identify birth cohorts, so I might be willing to do  
2 that.

3 MS. SHAHEEN: Stefany Shaheen again. I just want  
4 to caution us away from thinking that there's some  
5 grant funding source out there that's going to step in,  
6 for two reasons: one, I think that means we somehow  
7 psychologically take our foot off the gas relative to  
8 advocating that the Air Force cover this public health  
9 study; and for the second reason being that, if I'm a  
10 funding source looking at all these different grant  
11 applications, the fact that there's a federal agency  
12 with a \$600 billion budget that has taken  
13 responsibility for the contamination and has made a  
14 pretty significant step in terms of remediation, I  
15 mean, the fact that the Air Force is willing to step up  
16 and restore the aquifer, and has been at the table to  
17 try to right the environmental wrongs that have already  
18 occurred, to say that this study should get priority  
19 over some other study, where there isn't necessarily  
20 the same kind of resources and/or commitment to  
21 remediation, I think, would be hard to justify.

22 Also the timing. I mean, the reality is to try to  
23 get a funding source to step up and spend ten to  
24 15 million dollars on a long-term health effects study,  
25 I mean, I think it's a long time coming. And that's

1 not to say we don't necessarily need to consider plan  
2 B, but I would hate for any one of us at this table to  
3 walk away thinking it's time to consider plan B yet.

4 DR. CARIGNAN: So I think that the grant mechanism  
5 that we're discussing is supplementary to the study.  
6 It's answering different questions. It's using a  
7 different study design. So, you know, this ATSDR study  
8 has not, you know, proposed to recruit during pregnancy  
9 and follow, and so that design can answer different  
10 questions.

11 MS. SHAHEEN: I totally appreciate that, and I  
12 think we should be studying as much as we can get  
13 resources to study. I just would hate for us  
14 collectively to think that, because these other studies  
15 may be happening, that they're supplemental, and  
16 therefore we don't have to do this other work, so I  
17 know that's not what you're proposing.

18 DR. CARIGNAN: Yeah, and it won't answer the adult  
19 questions and --

20 MS. SHAHEEN: Right. Right.

21 DR. BOVE: And it won't answer AFFF because there  
22 are -- there are cohorts that are being looked at by  
23 NIEHS that have been on the field for many years  
24 looking at other things, and none of them have to do  
25 with AFFF exposure. They're going to be looking at

1 basically background, so they would be like NHANES  
2 studies, only they're birth cohort studies. And  
3 they're important. There's no question about it, just  
4 like the NHANES studies are important. They're not  
5 AFFF either.

6 MS. AMICO: But most of those cohorts are  
7 really -- were developed in places where there was a  
8 specific question. So like in New York City it was  
9 around air pollution.

10 DR. BOVE: Yeah, I'm thinking about the Cincinnati  
11 cohort, which is not far from where the C8 situation  
12 was, but again, it's background.

13  
14 **NEW CAP MEMBER DISCUSSION**

15 DR. BREYSSE: We're about at the end of the day.  
16 We didn't have time to do the new CAP member  
17 discussion. We can do that, Jamie, on a call?

18 MS. AMICO: Actually the member that we're  
19 thinking of adding is actually here, so I would like to  
20 take just a moment, if that's okay. Do I need the  
21 microphone?

22 Andrea Amico. This is an agenda item that I had  
23 asked. I know that there's been a lot of talk about  
24 recruiting healthcare professionals that can help us in  
25 terms of streamlining information out to healthcare

1 providers. I also think this person that I want to  
2 propose would be great in helping recruit children for  
3 our study. So we have in the audience tonight Lili  
4 Lantin. She's a pediatric nurse practitioner. She  
5 works for Pediatric Associates, which is -- Lili, do  
6 you want to stand up, just so they know who you are?

7 MS. WIERBONICS: It's Wierbonics.

8 MS. AMICO: Oh, Wierbonics, excuse me. Lili  
9 Lantin-Wierbonics. And so she's a pediatric nurse  
10 practitioner. She works for Pediatric Associates,  
11 which is a large pediatric practice for Portsmouth, and  
12 they have an office in Hampton. My children go there.  
13 And Lili is professionally interested in this, but  
14 she's also personally interested, as her children  
15 attended Discovery daycare and have elevated levels of  
16 PFCs in their blood.

17 So I think that Lili would be a great addition to  
18 our CAP for a couple reasons. She has a professional  
19 interest and a personal interest. I think that she  
20 works with kids. She has fielded many questions from  
21 many parents about the concerns. I think that she  
22 would be able to help us, particularly with children's  
23 studies, when we talk about those control groups and  
24 how we're going to recruit those folks, you know, when  
25 we talk about the immune blood work that we may draw or

1 different endpoints in children. I just think that she  
2 would be a great resource.

3 And so she has graciously agreed to come tonight  
4 and kind of understand how our CAP works and consider  
5 joining our CAP, and I just wanted to float that out to  
6 the other CAP members, that I certainly would love if  
7 she would join as a member of our CAP. I think she'd  
8 be a great resource.

9 DR. BREYSSE: Yeah, so I think then the procedure  
10 to follow, Jamie, is to --

11 COMMANDER MUTTER: Well, I'll send -- if I can get  
12 her email, and we'll send her resume around and have  
13 the CAP vote that way, via email.

14 DR. BREYSSE: Any other CAP concerns in the final  
15 five minutes, that we haven't talked about already?

16 UNIDENTIFIED SPEAKER: Would our friend from  
17 Massachusetts consider joining our group as well?

18 MS. CONDON: I'll help in whatever way I can but I  
19 don't think I fall in the group; I'm just around the  
20 corner.

21 MS. AMICO: Can you repeat your name again?

22 MS. CONDON: Sure. It's Suzanne Condon,  
23 C-o-n-d-o-n.

24 MS. AMICO: Thank you.

25 DR. BREYSSE: And to be clear, Suzanne is also an

1 off-and-on-again consultant for ATSDR as well, so she  
2 helps me with things when we need special assistance.

3 MS. CONDON: And I work in Massachusetts a lot  
4 too.

5 DR. BREYSSE: And so she's a member of our board  
6 of scientific --

7 MS. CONDON: My neighbor told me I flunked  
8 retired. But I care about New Hampshire.  
9 (indiscernible).

10 SENATOR FULLER CLARK: So I too have a question,  
11 which is, I guess, before we all leave here tonight,  
12 trying to briefly define what those next steps might be  
13 in terms of our expectation from various vested  
14 entities here and from the CAP itself.

15 MS. SHAHEEN: So I'll give it a try, Stefany  
16 Shaheen again, in part because I feel like I made this  
17 plea earlier in the evening. I'm grateful to hear  
18 ATSDR has committed to continuing moving forward with  
19 the scope and definition of what a study would look  
20 like. I think we got a consensus to a certain extent  
21 that, if we could get the funding for Pease as a pilot  
22 part of the national study, that that would be a great  
23 way for us to proceed.

24 Sounds like we have a lot of research to do  
25 relative to understanding how the Camp Lejeune

1 precedent was established and how we can piggyback on  
2 that. I know the handful of folks I'm going to call in  
3 the morning, and I hope we can all be trying to do some  
4 of that research, especially those who are more  
5 familiar with the Camp Lejeune studies than I am.  
6 Certainly there are folks in this room who I know are  
7 going to help do some of the follow-up from a  
8 Congressional delegation standpoint. I think starting  
9 there for us in New Hampshire is going to be really  
10 important.

11 And then beyond that, in the very near term,  
12 anything we can do to leverage the resources that are  
13 available from a health monitoring standpoint, I hope  
14 we can collectively commit to and think beyond -- I  
15 know we did this initially, when this news first broke,  
16 but there's new resources now, new tools, that ATSDR  
17 has provided. Might there be other creative,  
18 innovative ways we can help disseminate that  
19 information to community members and to the medical  
20 community here?

21 So in my mind the list is how do we better  
22 understand what the Camp Lejeune precedent is and how  
23 do we advance the advocacy work that needs to happen in  
24 order to piggyback on that, working with the Air Force.  
25 And what can we do collectively to make sure the new

1 resources that are available from a health monitoring  
2 standpoint are in the hands of the right people here.  
3 And then continue to support ATSDR's work to further  
4 define next steps related to the study. I'm sure there  
5 are other things but to me those are the three most  
6 pressing priorities.

7 DR. BREYSSE: All right. So looks like time's up  
8 so we'll adjourn the meeting. And thank everybody for  
9 your continued partnership.

10 (Whereupon the meeting was adjourned at 9:00 p.m.)  
11

1

**CERTIFICATE OF COURT REPORTER**

**STATE OF GEORGIA**

**COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Master Court Reporter, do hereby certify that I reported the above and foregoing on the day of May 30, 2017; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 27th day of June, 2017.

*Steven R Green, CCR*

**STEVEN RAY GREEN, CCR, CVR-CM, PNSC**

**CERTIFIED MERIT COURT REPORTER**

**CERTIFICATE NUMBER: A-2102**

