

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES  
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

**SEVENTH MEETING**

**PEASE COMMUNITY ASSISTANCE**

**PANEL (CAP) MEETING**

September 20, 2018

The verbatim transcript of the  
Meeting of the Pease Community Assistance  
Panel held at the New Hampshire Department of  
Environmental Services, Pease Tradeport, Portsmouth,  
New Hampshire, on September 20, 2018.

STEVEN RAY GREEN AND ASSOCIATES  
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

September 20, 2018

WELCOME AND INTRODUCTIONS	5
DR. PAT BREYSSE	
DR. CHRIS REH	
ACTION ITEMS FROM MAY 2018 CAP MEETING	9
CDR JAMIE MUTTER	
PEASE HEALTH CONSULTATIONS UPDATE	13
CAPT TARAH SOMERS	
PEASE PROOF OF CONCEPT STUDY UPDATE	20
DR. PAT BREYSSE, DR. FRANK BOVE, DR. MARIAN PAVUK	
MULTI-SITE STUDY UPDATE	58
DR. PAT BREYSSE, DR. FRANK BOVE,	
DR. MARIAN PAVUK	
QUESTIONS FROM THE AUDIENCE	86
CAP CONCERNS	94
MEDICAL MONITORING	
PEASE AIR NATIONAL GUARD INCREASED	
RATES OF CANCER	
PEASE CAP	
WRAP-UP/ADJOURN	126
COURT REPORTER'S CERTIFICATE	127

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "\*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

**P A R T I C I P A N T S**

(alphabetically)

ALMOSARA, JOEL, AIR FORCE  
AMICO, ANDREA, CAP MEMBER  
BOVE, FRANK, ATSDR  
BREYSSE, PATRICK, NCEH/ATSDR  
CARIGNAN, COURTNEY, CAP TECHNICAL ADVISOR (via phone)  
CARMICHAEL, LINDSEY, CAP MEMBER  
DALTON, MICHELLE, CAP MEMBER  
DAVIS, ALAYNA, CAP MEMBER  
DURANT, JOHN, CAP TECHNICAL ADVISOR  
HARBESON, ROBERT, CAP MEMBER  
LAZENBY, CLIFF, CAP MEMBER  
MUTTER, JAMIE, ATSDR  
OSGOOD, RUSSELL, CAP MEMBER  
PAVUK, MARIAN, ATSDR  
REH, CHRIS, ATSDR  
SCHAIDER, LAUREL, CAP TECHNICAL ADVISOR  
SOMERS, TARA, ATSDR  
SULLIVAN, MARK, CAP MEMBER  
VETTER, SHELLEY, CAP MEMBER



1 or question so our transcriptionist can make sure to  
2 capture that for the record. That's all there is.

3 DR. BREYSSE: Great. So go around the room and  
4 I'll start. I'm Patrick Breysse. I'm the Director  
5 (technical interruption) for Environmental Health  
6 and the Agency for Toxic Substances and Disease  
7 Registry. I'd like to just take a minute to  
8 introduce Chris Reh who is sitting next to me.  
9 Chris is new to you all. Chris has been working  
10 with us now for about six weeks at ATSDR, and we  
11 recruited Chris to serve as the Associate Director  
12 for ATSDR. So as I said with my introduction, I'm  
13 the head of two different groups and so my attention  
14 gets divided oftentimes and ATSDR has got a very  
15 important and valuable mission and I decided a year  
16 or so ago that I would love to have somebody to help  
17 me run the ATSDR side of the work that we do and we  
18 got permission to hire somebody which was nice in a  
19 time of, you know, a transition in the federal  
20 government where there was hiring freezes and so  
21 forth and I'm happy to say we recruited Chris.  
22 Chris comes from a -- has a very appropriate  
23 background for this job. He started working at CDC  
24 for NIOSH, the National Institute for Occupational  
25 Safety and Health. That's one of the institutes or

1           centers in CDC. He also has a lot of private  
2           industry experience as well, and he has a PhD in  
3           industrial hygiene environmental health from Johns  
4           Hopkins Bloomberg School of Public Health. And so  
5           we're very excited about the practical experiences  
6           he brings from the corporate side of things as well  
7           as his previous government experience and his  
8           technical background is just ideal for the kind of  
9           work that we used to do -- that we do here.

10           Many of you may know that NIOSH has a mandate  
11           to do work place inspections when employees ask for  
12           help. It's very similar to ATSDR's mandate to do  
13           community health assessments when communities ask  
14           for help. And so Chris came from the part of NIOSH  
15           that did all those work place assessments when  
16           workers or employers said I'm worried about my work  
17           place, can you come and help us with that work.

18           So Chris, I don't know if you want to say  
19           anything more about yourself.

20           DR. REH: Thanks, Pat. I think you covered it  
21           pretty well. I feel honored to be here. I'm still  
22           learning so please bear with me. It's also nice to  
23           be back in New England, I lived 10 years in the  
24           Boston area and I appreciate being here.

25           CAPT SOMERS: I'm Tarah Somers, I'm the, sorry

1 I've got a cold too. I'm Tarah Somers with ATSDR  
2 Region One. I'm the Regional Representative here in  
3 New England.

4 MR. SULLIVAN: I'm Mark Sullivan, I'm a member  
5 of CAP. I have a business here on the Tradeport.

6 MS. AMICO: Andrea Amico. Is this working?  
7 I'm a Portsmouth resident and also a cofounder of  
8 Testing for Pease.

9 MS. CARMICHAEL: Lindsey Carmichael, a Pease  
10 CAP member and Portsmouth resident.

11 MS. DAVIS: Alayna Davis, cofounder of Testing  
12 for Pease and CAP.

13 DR. SCHAIER: Laurel Schaider from Silent  
14 Spring Institute and I'm a technical advisor to the  
15 CAP.

16 MR. HARBESON: Rob Harbeson, I own a business  
17 in Portsmouth. I'm a past Chair of the Board of  
18 Great Bay Kids at Pease and the parent of two  
19 affected kids.

20 COL ALMOSARA: Good evening. I'm Joel  
21 Almosara. I'm from the Office of the Deputy  
22 Assistant Secretary of the Air Force for Environment  
23 Infrastructure and Energy and I replace Col  
24 Costantino. Nice to be here.

25 MR. OSGOOD: Russ Osgood, I'm a member of the

1 CAP and I'm also a Portsmouth fire fighter.

2 MS. VETTER: Shelley Vetter, also a member of  
3 the CAP and owner of Discovery Child Enrichment  
4 Center.

5 MR. LAZENBY: Cliff Lazenby, Assistant Mayor,  
6 City of Portsmouth, member of the CAP.

7 DR. PAVUK: Marian Pavuk, ATSDR.

8 DR. BOVE: Frank Bove, ATSDR.

9 DR. BREYSSE: Great. So why don't we start  
10 with the agenda. Everybody has the agenda in front  
11 of us.

12 CDR MUTTER: Sir, can we go on the phone and  
13 see who's on the phone?

14 DR. BREYSSE: Oh, I'm sorry. Yes.

15 CDR MUTTER: I'll start. I'm Jamie Mutter, I'm  
16 the CAP coordinator, and if we have anybody on the  
17 phone? Okay. I guess not.

18 **ACTION ITEMS FROM MAY 2018 CAP MEETING**

19 DR. BREYSSE: So we can start with the action  
20 items from the last CAP meeting. Commander Mutter.  
21 Since you called me sir.

22 CDR MUTTER: Yes, I will do these action items.  
23 Okay, so the first one is ATSDR will share an  
24 estimated time line for the Pease Proof of Concept  
25 Study and we did that yesterday, shared that with

1 the CAP.

2 The next one, ATSDR agreed to have someone from  
3 the exposure assessment team attend our next CAP  
4 teleconference to answer questions. And we had Dr.  
5 Rachael Worley attend our June 11<sup>th</sup> CAP meeting to  
6 answer those questions.

7 The CAP requested the names of the peer  
8 reviewers for the Pease Proof of Concept Study.  
9 That was sent on August 22<sup>nd</sup> to the Pease CAP.

10 ATSDR will provide the Pease CAP with  
11 information on how a health consultation assessment  
12 is activated and Captain Somers will speak to that.

13 CAPT SOMERS: So I went back, after the last  
14 meeting I looked on our public facing website with  
15 information. We do have information there about the  
16 documents we produce like health consultations,  
17 health assessments and how community members can  
18 petition ATSDR to start work on a site. What we  
19 don't have on there is how sites come to us through  
20 other avenues, so just really briefly we can start  
21 work on a site sometimes if we're petitioned by a  
22 community member and there is enough data available,  
23 environmental sampling data for us to do that work,  
24 we'll evaluate that petition. We also, through our  
25 mandate, work on the largest Superfund National

1 Priority List sites, the NPL sites. We do work on  
2 those sites and produce public health assessments  
3 for all of those sites. And then, sorry, again with  
4 the cold, we write health consultations for sites  
5 that come to us through several ways. So one could  
6 be the petition process, two could be if EPA asks us  
7 to assist on a site, or if a state asks us to assist  
8 on a site and that can be the state sometimes. It's  
9 usually through the health department but it could  
10 be through DEP or another state agency that can  
11 request our work on a site. So that's how we do  
12 that.

13 We can send you the link. Do you want the  
14 links for like the petition and the brief write up  
15 on the types of documents; would that be something -  
16 -

17 CDR MUTTER: That'd be good.

18 CAPT SOMERS: -- you want us to send?

19 DR. BREYSSE: Uh-huh.

20 CAPT SOMERS: Okay. I get the next one too?

21 CDR MUTTER: Yeah.

22 DR. BREYSSE: So if I could just say a few  
23 things there about that. So one of the things that  
24 excited me about when I took this job was that I got  
25 to work with ATSDR. It's very unique and it's the

1           only place where a private citizen can have a  
2           concern about some hazardous material in their  
3           environment and ask the government to come in and  
4           help. Now, we have to do it if it's a national  
5           priority let's say from the EPA. We always almost  
6           always do it if a state asks us. So like we said,  
7           we do lots of work, but the work that we do based on  
8           citizen petitions is still a significant part of our  
9           portfolio and so it's the part of work that gives  
10          me, I think, a lot of the greatest pleasure about  
11          the work that we do, that there's actually a place  
12          where people can come and we'll do our best to  
13          address those concerns.

14                 CDR MUTTER: Thank you. We only have one more  
15                 action item to close this out, it goes back to  
16                 Captain Somers. She'll inquire if the Pease health  
17                 consultation roll out plan can be shared with the  
18                 CAP.

19                 CAPT SOMERS: Yes. So the official roll out  
20                 plan we have that's in clearance with the document  
21                 is still in our clearance process so I was told I  
22                 can't release it yet. But I can -- if you want me  
23                 to go over it again verbally right now, do you want  
24                 me to say it again so we can get feedback if... All  
25                 right, I'll do it.

1           So we have the two health consultations, and  
2           this ties in a little bit later too to the health  
3           consultation update. So do you want me to do it  
4           then when we talk about the health consultations?

5           CDR MUTTER: Yeah, either way is fine.

6           CAPT SOMERS: Or now?

7           CDR MUTTER: We already have it.

8           **PEASE HEALTH CONSULTATIONS UPDATE**

9           CAPT SOMERS: All right, we can do it now. So  
10          for the health consultations we have two. There is  
11          one on the public drinking water system at Pease and  
12          then there's one for the private wells that were  
13          largely off site of Pease in the Newington,  
14          Greenland area. So there's two documents that'll be  
15          produced. So the first one for the public drinking  
16          water health consultation, when they're released,  
17          both documents, when they're released will be for  
18          public comment which means we will put them on our  
19          website and we try to make the communities aware  
20          that these documents are available for them to read  
21          and comment on. And we also will have, at that time  
22          usually what we do is like a public availability  
23          session. So along with just releasing it on the  
24          website or announcing it through local social media  
25          or through newspapers, we'll actually have a meeting

1           where people can come and ask us questions about the  
2           document and then there'll be the public comment  
3           period. It's usually open for usually 30 to 60 days  
4           and people can write comments in to us that we will  
5           incorporate before the final version is produced.  
6           So for that public comment period for the first  
7           document which is on the Pease Tradeport drinking  
8           water, what we would do is we would set up meetings  
9           here on the Pease Tradeport and we would try to  
10          target like morning, afternoon and evening time.  
11          Since a lot of the people at the Tradeport work at  
12          the Tradeport, we want to try to accommodate their  
13          schedules to make it easy for them to come ask us  
14          questions or talk to us about the document and  
15          questions they have. So we would try to set that up  
16          to stagger times we could be here to work with  
17          people. And we would also at the same time, and I  
18          talked to Kim McNamara about this a little bit, we  
19          would reach out to the Portsmouth City Council  
20          probably and see if they wanted us to -- we could  
21          probably do one of their city council meetings, but  
22          they might want a separate meeting. It depends on  
23          their schedule, so we'd have to work through them to  
24          determine what's best for their schedule. So that  
25          would be another way members of the public could

1           come and listen to what we have to say about the  
2           document. So that's the first one, the public  
3           drinking water one.

4           For the private well health consultation, we  
5           would take a slightly different tactic in that we  
6           have the addresses for where the water samples were  
7           taken and we would try to target those residences.  
8           We'd send out a mailing to them to tell them the  
9           document's available and we would set up a time in a  
10          public place to -- for them to come talk with us as  
11          well. And again, we'd try to create a couple  
12          different times so to catch people maybe the middle  
13          of the day or after work and that way they could  
14          come ask us specific questions about their  
15          exposures. That one's a little different 'cause  
16          it's their private drinking water wells so we were  
17          trying to give them a little bit more privacy to ask  
18          questions 'cause it's their homes. And again, we  
19          would reach out to the local select boards in those  
20          towns and ask if they would like us to come present  
21          to them as well. So that would be our roll out  
22          plan. And we would rely on the CAP too to spread  
23          the word that the documents are available.

24                 MS. AMICO: Do you have a date? I know it's in  
25                 clearance, do you have any idea?

1           CAPT SOMERS: I still don't have a date, I'm  
2           sorry.

3           MS. AMICO: Okay. That's okay.

4           CAPT SOMERS: With the release of the tox  
5           profile we went back and updated it so it's going  
6           through clearance again.

7           MS. AMICO: Right. I think that's what we were  
8           told at the last meeting, right? So nothing has  
9           changed since then?

10          CAPT SOMERS: No. I wish I had a date. I'm  
11          really reluctant to put any dates out there without  
12          being sure that it's going to roll out the door.  
13          That's why we haven't set up meetings yet with the  
14          local like officials because I don't want to get on  
15          their calendar and then have to cancel on them.  
16          That would be -- it's not fair to, you know, they  
17          have busy schedules, I don't want to do that to  
18          them. So as soon as I have the go ahead that it's  
19          cleared, we can let the CAP know and try to start  
20          setting those times and dates for when would be a  
21          good time to like be on the Tradeport, 'cause you  
22          might know days that are better than others. I  
23          mean, obviously we wouldn't do like a holiday. You  
24          know, we're not going to do it like Thanksgiving  
25          week. I mean, that would be, you know, that's not

1 fair to anybody. But you might have other knowledge  
2 of what's happening locally that we could try our  
3 best to schedule so that, you know, we can get as  
4 many people to come talk to us as want to come talk  
5 to us.

6 MS. AMICO: So and where is the hold up in  
7 clearance with CDC or ATSDR? Where is the hold up?

8 CAPT SOMERS: I don't think I'd call it a hold  
9 up, I think it just -- with the tox profile coming  
10 out and the new MRLs we have, I think all the people  
11 who had looked at it before when we had the first  
12 version are now the same people that have to go  
13 through it and look at it again and with the new tox  
14 values I think everyone wants to really make sure  
15 it's done right.

16 MS. DAVIS: So I guess it's in ATSDR's hand  
17 right now?

18 CAPT SOMERS: It's in ATSDR's hand.

19 MS. DAVIS: Is there still the third component  
20 with the clinician guidance?

21 CAPT SOMERS: Yeah, if we're going to try to  
22 like do some clinician outreach? Yes. We are still  
23 planning to try to do some clinician outreach. Also  
24 I found out the New Hampshire Medical Society, they  
25 are having a little PFAS, I don't want to call it

1 little, a portion of their program for their  
2 November agenda, their state meeting will have a  
3 PFAS component so they'll get to some clinicians  
4 that way. I know, I don't want to speak for the  
5 state, but I know in the summer New Hampshire  
6 Department of Health and Human Services also has a  
7 new letter that's gone out. I don't won't speak for  
8 you, Dr. Chan. But that went out in the summer,  
9 right? July, I believe, with the updated  
10 information?

11 (INAUDIBLE)

12 CAPT SOMERS: Yeah, I believe it was the middle  
13 of summer. So yeah, we will still work to try to  
14 also raise awareness. I don't want to call it  
15 education again because we're not actually probably  
16 going to be able to go out and do like continuing  
17 education sessions but at least awareness of the  
18 materials that are out there. And the materials  
19 you've seen before, the clinician ones that we have  
20 at ATSDR, those are also being looked at and updated  
21 with the new MRL information. So we'll make sure,  
22 hopefully, that that's all, you know, updated before  
23 they go out the door. Anyone else? Well I just did  
24 my other part too, so.

25 MS. AMICO: Will you be attending the New

1 Hampshire Medical Society meeting?

2 CAPT SOMERS: Will I be? No, I don't think so.

3 MS. AMICO: Okay. You brought it up so I  
4 wasn't --

5 CAPT SOMERS: Yeah, no.

6 MS. AMICO: -- sure if you were invited to go.

7 CAPT SOMERS: No, I won't be.

8 DR. BREYSSE: But if invited we would  
9 participate.

10 CAPT SOMERS: Yeah, we could go. I mean --

11 MS. AMICO: Well you sat in on another meeting  
12 with the New Hampshire Medical Society --

13 CAPT SOMERS: Yeah, that was --

14 MS. AMICO: -- and you brought up the meeting,  
15 so I was just curious --

16 CAPT SOMERS: Yeah.

17 MS. AMICO: -- if you were going to be involved  
18 in that.

19 CAPT SOMERS: That was when we, yeah, we were  
20 discussing with them ways to do some outreach. I  
21 think largely it's for their clinician. I mean, I  
22 guess we could go. Yeah, we can think about it,  
23 it's in November. It's their -- it's on the  
24 website, their agenda is now available.

25 **PEASE PROOF OF CONCEPT STUDY UPDATE**

1 DR. BREYSSE: So the next part of the agenda is  
2 update on the Pease Proof of Concept. Let me just  
3 begin by saying a few words, then we have Frank and  
4 Marian who can talk in more detail. So the good  
5 news is the funding has been secured and we're going  
6 to announce very soon, I'd hoped by tonight but not  
7 tonight, that we have a contractor lined up to begin  
8 to work on the study. So it's moving forward. And  
9 so I was a little bit surprised at the amount of  
10 work it took to get the money transferred from the  
11 Department of Defense to ourselves, and it had to be  
12 done by the end of September because that's the end  
13 of our fiscal year. And so we got it done with  
14 perhaps a week to spare so everything's in good  
15 shape in that regard. But the effort to get the  
16 funding in place didn't stop us from kind of  
17 planning and moving and getting some of the details  
18 of the study in line in anticipation of getting the  
19 funding in place. And so rest assured that we're  
20 moving as expeditiously as possible.

21 And so if I could turn to Frank to talk a  
22 little bit about where we are with the details of  
23 the Proof of Concept.

24 DR. BOVE: Sure. So just to remind everyone  
25 and also let the audience know what -- the study

1 we're talking about. We're talking about a study  
2 that's focusing on drinking water exposures to PFAS  
3 and the contamination at, in particular, the  
4 contamination at Pease. We're talking about  
5 recruiting some 350 children, ages 4 to 17, and a  
6 thousand adults, aged 18 and over, from the Pease  
7 population. The focus of the recruitment will be  
8 those who participated in the previous New Hampshire  
9 State Health Department's biomonitoring program at  
10 Pease. So that will be the group that we'll focus  
11 our recruitment on because we'll be able then to  
12 have two points in time where we have PFAS serum  
13 levels. And the first -- and the serum level from  
14 the biomonitoring is actually closer in time to when  
15 the contamination was at its worst. So we're going  
16 to be recruiting from those participants first. If  
17 we can't get -- reach our sample size goals then we  
18 will recruit from those who are eligible to be part  
19 of that biomonitoring program but for some reason  
20 didn't participate. So that will be the second  
21 approach if we don't reach the goals we have. And  
22 then we're going to have a recruitment of 175  
23 children from the Portsmouth area who were not  
24 exposed to the drinking water and 100 adults as  
25 reference in this study. We're going to be

1 collecting blood, of course, and we're going to be  
2 looking not only at PFAS but a wide range of effect  
3 biomarkers such as lipids, liver function, kidney  
4 function, immune function and so on and we'll also  
5 be doing neurobehavioral tests of the children to  
6 look at some of the symptoms of AD -- attention  
7 deficit hyperactivity disorder and other behavioral  
8 issues that affect learning and so on. So we can --  
9 if there are still questions about the study we can  
10 talk about that.

11 So you all got a timeline so you have a sense  
12 of how we're progressing and what needs to be done  
13 further. We did have a panel that reviewed the  
14 contractors who bid on the task order for the study.  
15 The panel reviewed the proposals and came to  
16 conclusion as to -- made a recommendation for one  
17 contractor to get the award. We're waiting for the  
18 -- our office of financial resources, I guess it's  
19 called, to finalize that but we hope that the  
20 contractor will be chosen real soon. Should be  
21 chosen by the end of this month.

22 DR. BREYSSE: Yeah. Well it's -- the  
23 contractor's been chosen; we probably just can't  
24 announce the name just yet.

25 DR. BOVE: Yeah, we can't announce who it is

1 but it will be -- it should be in place by the end  
2 of this month. And so -- and then the contractor  
3 once they get the award there are certain things  
4 that they have to do in the first couple of months  
5 of the award, including working with the state  
6 health department on an outreach strategy, hiring  
7 staff, finding and establishing an office in the  
8 Portsmouth area. So those are some of the things  
9 that we can do without OMB approval. We can't  
10 consent people and start taking blood or any of that  
11 sort until we have OMB approval. And OMB approval  
12 is a wild card. It could -- we could get OMB  
13 approval as early as this spring. We could get OMB  
14 approval as late as, as you see in this timeline,  
15 September. We have no control whatsoever on the  
16 process. So and we can't call them, they call us.  
17 So that's how it is. It's unfortunate, but that's  
18 the constraints where we have. So we're not sure  
19 when we'll be on the street actually recruiting  
20 people into the study and starting to collect the  
21 data from people. As soon as we get OMB approval,  
22 that will start. Okay. So with that said, there  
23 are steps in the timeline that you can see. We have  
24 IRB approval from the CDC IRB. So that was an easy  
25 process. I wish the OMB process was like that. We

1           have until October 26<sup>th</sup> for the 60-day period --  
2           comment period on the Federal Register announcement  
3           for the study. Once we've gotten so far, I think,  
4           two comments on that. We'll respond to those. We  
5           also have to deal with certain issues of privacy and  
6           protecting personal identifying information so we're  
7           working internally within our agency and CDC to deal  
8           with those issues. We are going to be collecting  
9           social security numbers so that we can follow people  
10          over time after the initial data collection. It  
11          also facilitates possible linkage with other health  
12          databases in the future as well which is, again, a  
13          way of following people. So we want to do that, but  
14          because we are -- we want to collect social security  
15          number, we have to go through more procedures and  
16          protections to make sure nothing -- no data breaches  
17          occur.

18                 So, but we don't expect that to take too much  
19                 longer. We've been working with CDC on these  
20                 issues. So we should be able to turn it around and  
21                 have, as it says here, undetermined date in the  
22                 timeline for when we put it in for a 30-day Federal  
23                 Register notice, but that should happen pretty much  
24                 as it says here in the timeline, sometime in  
25                 November or December. And then we wait and see how

1 long it takes for OMB to give us its approval. So -

2 -

3 DR. BREYSSE: Other things we'll be doing  
4 though is the contract, we'll have to have a  
5 database that needs to be set up to handle all the  
6 data, so the database will be developed, we'll test  
7 the database. We'll collaborate with statisticians  
8 about analysis of the data going forward. So we'll  
9 get as much as we can done in advance so that when  
10 we do get final OMB approval, we're here in the  
11 community, we've reached out to the community, we're  
12 ready to start recruiting. We'll work with the  
13 state, we'll collaborate with other investigators  
14 that might be doing studies here in the Tradeport  
15 and we'll be ready to roll.

16 DR. BOVE: Right. So as part of the task in  
17 the task order the contractor is supposed to work  
18 with the CAP and with other community organizations  
19 in Portsmouth to do the outreach for the study. So  
20 that is part of their work and we'll be monitoring  
21 that. It is a contract so we can stipulate exactly  
22 what they do. And so I think that it would be  
23 important for the contractor to work with the CAP  
24 and with other community organizations in their  
25 outreach strategy and with the state health

1 department as well. So that will be part of the  
2 effort.

3 DR. BREYSSE: So ideally when we approach  
4 somebody about being a part of the study they will  
5 have already heard about it --

6 DR. BOVE: Right.

7 DR. BREYSSE: -- and they'll be enthusiastic  
8 about it. One of the biggest challenges in a study  
9 like this is meeting our recruitment goal and so  
10 we'll hopefully have a lot of groundwork laid in  
11 advance so that when we do come into the community  
12 to start getting people that the excitement will be  
13 there, the need will be explained, and people will  
14 be enthusiastic about participating.

15 DR. BOVE: And one other thing is the Pease  
16 development authority and the tenants, is it TAP, I  
17 guess it is, they will also approach those entities  
18 to help with outreach as well to the workers and  
19 previous workers in Pease. So there's going to be a  
20 lot of different ways that the word will get out  
21 about the study.

22 MS. DAVIS: So this is Alayna Davis. I had a  
23 couple of questions. So the first question is would  
24 the contractor, so you said that it's been approved  
25 but you can't tell us who it is, would they attend

1           our CAP meetings?

2           DR. BOVE:    Yeah.

3           DR. BREYSSE:  I assume so.  Yeah.

4           MS. DAVIS:  Would they be on our CAP calls  
5           also?

6           DR. BREYSSE:  Yes.

7           DR. BOVE:  Yes.

8           MS. DAVIS:  Okay.  And then can you outline  
9           kind of what their specific role is, I mean, will  
10          they be involved with the recruitment and working  
11          with us or are they just working on behind the  
12          scenes lab work.  Like how -- like specifically what  
13          tasks are they involved with?

14          DR. BOVE:  Well they will -- they will carry  
15          out the outreach strategy so they're tasked to  
16          actually come up with materials, outreach materials  
17          and get the word out.  And in the process of doing  
18          that effectively, they're going to be working with,  
19          as I said, with the CAP, with testing for Pease with  
20          the New Hampshire State Health Department, with  
21          other organizations in town that makes sense to --

22          DR. BREYSSE:  But in terms of conducting the  
23          study though.

24          DR. BOVE:  In conducting -- yeah they will do  
25          the data collection, right.

1 DR. BREYSSE: They will man the store front in  
2 town.

3 DR. BOVE: Yeah.

4 DR. BREYSSE: They will be the people drawing  
5 the blood, administering the questionnaires.

6 MS. DAVIS: Okay. So all the nitty gritty,  
7 essentially.

8 DR. PAVUK: Yeah. Basically they'll be the  
9 executive arm of the study, they'll be the people  
10 that will do the actual work of recruitment  
11 enrollment and data collection and sample  
12 collection. That's their main goal for the study.

13 DR. BOVE: Right. What they don't do is the  
14 actual analysis, that we do.

15 DR. BREYSSE: Now if we can go back in time for  
16 a bit. You know, our original thought was that we  
17 would announce a competition for conducting the  
18 study that people could have written proposals to  
19 do, but the way the money was transferred to us in  
20 the Department of Defense made that not possible.  
21 So the money, we cannot grant the money out the way  
22 the DOD gave us the money currently. So our only  
23 option at that point was either to hire a whole  
24 bunch of people ourselves to do the data as  
25 employees of ATSDR CDC or to subcontract the work

1 out to somebody to do the work and that's the route  
2 that we chose. And I can assure you the group that  
3 we picked is well qualified, reputable firm to do  
4 this work. Andrea?

5 MS. AMICO: Okay. Just, I guess, to continue  
6 on with that discussion, do you anticipate that this  
7 contractor will carry out the study at other sites  
8 as well as part of the --

9 DR. BREYSSE: Not necessarily.

10 MS. AMICO: Okay. So we can't expect that the  
11 company doing the work here will be the same one  
12 doing the work as part of the multi-site study?

13 DR. BREYSSE: Yeah, so we'll touch on that --

14 MS. AMICO: Okay.

15 DR. BREYSSE: -- when we move on to the multi-  
16 site study update what our thoughts in that arena  
17 are.

18 MS. AMICO: Okay.

19 DR. BOVE: But it's not, I mean it's because we  
20 want to use a different mechanism for the other  
21 studies.

22 DR. BREYSSE: Yeah, so we don't have to be  
23 rigid. So the money that's coming to us for the  
24 multi-site study will not be restricted in the same  
25 way the money we got now is. So we'll be free to

1 use that money however we choose and in that case we  
2 will likely have a mechan -- I say likely because we  
3 don't have anything solidified yet because we  
4 actually don't have any money beyond this year yet,  
5 just so we're clear.

6 MS. AMICO: The first 10 million dollars,  
7 right?

8 DR. BREYSSE: Yeah.

9 MS. AMICO: And is that -- how is that  
10 different than future money, 'cause you said you  
11 won't be restricted at these other -- for the other  
12 sites? I guess I'm not understanding that.

13 DR. BREYSSE: So Congress gave the Department  
14 of Defense the authority to do direct transfers of  
15 resources to CDC.

16 MS. AMICO: Uh-huh.

17 DR. BREYSSE: They did not have that authority  
18 before so that authority means they give us the  
19 money and there's no strings attached. So just to  
20 give you an example, the way we got the money before  
21 we had to sign a memorandum of understanding,  
22 essentially, with the DOD that described our roles  
23 together and we are, practically speaking, a  
24 subcontractor to the DOD for that first 10 million  
25 dollars and we had to agree, you know, to all these

1 provisions going forward. None of that will have to  
2 be done with the future monies because now Congress  
3 said to the DOD you can just give money directly to  
4 CDC, you don't have to go through the subcontract  
5 mechanism. So our hands are not tied in that regard  
6 and so we're free to consider things like having  
7 open competition for sites for the multi-site study.

8 MS. AMICO: So does that, and I'm not offending  
9 anyone with this question, but does that mean our  
10 community, perhaps, could be at a disadvantage  
11 because we're not going to have this open  
12 competition like other sites may have?

13 DR. BREYSSE: No. I really don't think so.  
14 The goal here is to make sure that the data that's  
15 collected here will fold seamlessly into the larger  
16 pool of data collected as part of the multi-site  
17 study independent of who does it, you know, the  
18 types of data we're collecting will be the same, the  
19 blood draws will be the same. We need these to line  
20 up.

21 MS. AMICO: Okay. Just wanted to ask that,  
22 thank you very much. Okay. I have a couple of  
23 other questions. So Frank you talked about the  
24 control kids, 175 control kids within Portsmouth but  
25 I know we've raised concerns that Portsmouth has low

1 levels of PFAS, so are those kids truly unexposed  
2 and so what is going to be your definition of a  
3 control group; is it going to be children that live  
4 in a community that have no PFAS exposure  
5 whatsoever?

6 DR. BOVE: Well there is no such thing.  
7 Everyone has some PFAS exposure so it's the drinking  
8 water that we're talking about.

9 MS. AMICO: Sure. So --

10 DR. BOVE: So that's the difference between  
11 those at Pease and those at -- in Portsmouth  
12 general. It's the amount of contamination that the  
13 Pease children were exposed to.

14 MS. AMICO: Okay. So --

15 DR. BOVE: So they're not -- they're a  
16 reference in the sense of they're similar to Pease  
17 children except they weren't given such a bolus of  
18 PFAS.

19 MS. AMICO: Okay. But also understanding that  
20 the Portsmouth children perhaps are drinking low  
21 levels of contaminants too.

22 DR. BOVE: Right, right, right.

23 MS. AMICO: Okay.

24 DR. BOVE: Yeah.

25 MS. AMICO: And you recognize that and you

1 would still use them as a control?

2 DR. BOVE: Yeah. Yeah.

3 MS. AMICO: I have another question about the  
4 social security number and following people over  
5 time, so I didn't know if you could describe that or  
6 maybe break that down a little bit 'cause my  
7 understanding is we're only doing a cross sectional  
8 study here. So what do you mean by following people  
9 over time through their social security number; is  
10 that a theoretical thing that we're going to do in  
11 the future or are there plans to follow people over  
12 time here at Pease?

13 DR. BOVE: We don't have plans, but we wanted  
14 to leave the option open and social security number  
15 is a good way of making sure we can follow people.  
16 And also adds -- what I'm doing at Camp Lejeune, for  
17 example, the social security number is key for  
18 matching with cancer registries and with the  
19 national death index. And so -- and also with other  
20 health records. So for those reasons we thought it  
21 was important to have social security number  
22 collected. It means though that we have to go  
23 through more procedures at CDC to protect it, but I  
24 think it's worth it. I think if we -- if we don't  
25 collect it it'll be harder to -- it may wipe that

1 option out of following these people or at least  
2 make it more difficult and so we wanted to keep that  
3 option open by doing that.

4 DR. BREYSSE: So I don't want to make a  
5 commitment, but we're planning for a whole host of  
6 things that we could do that we'd like to do should  
7 the resources come available to do it. So we talked  
8 before about the study of cancer, or reproductive  
9 outcomes. We see this -- and longitudinal study, we  
10 see this as the first step because we think this is  
11 the most important place to begin but we're very  
12 carefully exploring a whole host of things that we  
13 could do so that should resource become available  
14 we're ready to pursue those things. We're going to  
15 prioritize them. You know, some people might think  
16 developmental studies are more valuable than a  
17 cancer study or a longitudinal study is more  
18 valuable than a developmental study. We're going to  
19 lay all that out amongst, you know, amongst  
20 ourselves and we'll prioritize what we think is  
21 going to be the most important place to go next with  
22 the resources that we have and what will we do if we  
23 got additional resources. And as we develop those  
24 plans we'll share them with you and we can talk  
25 about them. And if there's decisions about, you

1 know, what has a higher priority or more important  
2 from our perspective, that's just a discussion we'll  
3 be happy to have.

4 MS. AMICO: I think that is reassuring to hear  
5 because I think we've made it clear as a CAP that we  
6 want more of a longitudinal commitment.

7 DR. BREYSSE: Yes.

8 MS. AMICO: So I'm very happy to hear that, so  
9 thank you very much. So I guess my last question  
10 is, I just want to be clear because it recently came  
11 to my attention that the firefighters that were  
12 exposed here at Pease would not be eligible for this  
13 study and I would just really like to better  
14 understand from ATSDR why that is and talk about if  
15 they're not eligible for this study are there plans  
16 to put them in their own study.

17 DR. BOVE: Okay. I can answer part of that. I  
18 think it's important that firefighters be evaluated  
19 as a separate group because their exposures are  
20 unique. And I think that -- so that's one issue.  
21 And the entity that would most likely follow  
22 firefighters is the entity that's already doing that  
23 which is NIOSH. They have three firefighter  
24 cohorts. Unfortunately, when I discussed this issue  
25 with them several years ago they said that these

1 firefighters were not using AFFF very much so they  
2 didn't think it was a good cohort to study. So a  
3 different cohort would have to be identified. But  
4 the reason we're not including them in this study is  
5 because we're focusing on drinking water, we want to  
6 use the drinking water contamination levels to  
7 predict what serum levels are over time and do a  
8 cumulative serum, PFAS serum evaluation similar to  
9 what the C8 study did. And the advantages to doing  
10 that are that if you use the actual biomonitoring  
11 results for PFAS there are some bias issues that  
12 could arise from particular end points and  
13 particular kidney end points, but there are other  
14 end points that are involved with reproductive end  
15 points that we're not looking at, but we wanted to  
16 be able to not only use the biomonitoring results in  
17 these analyses but also to estimate cumulative PFAS  
18 serum levels. And it's hard enough to do that with  
19 the drinking water. We would -- it would be really  
20 impossible for us to figure out in addition to the  
21 drinking water exposures what amount of PFAS a  
22 firefighter might've been exposed to either through  
23 training or putting a fire out. And so it's  
24 complicated. When the C8 study they included  
25 industrial workers but that's because -- and along

1 with the community exposures but that's because they  
2 already had done estimates of cumulative exposure,  
3 PFAS exposure with these workers with information  
4 from the work place itself so they can do that. And  
5 oftentimes they separate the two groups out in the  
6 analysis as well. You know, so again, because of  
7 this issue of the firefighters are diff -- the  
8 industrial workers in this case were different than  
9 the community exposures. So in order to maintain a  
10 clean study which -- it will be difficult to  
11 estimate cumulative exposure from the drinking water  
12 situation, we're going to have to do some modeling,  
13 we're going to have to make some assumptions, it's  
14 not easy to do that. It just adds a whole other  
15 layer of complexity and uncertainty by adding in  
16 occupational exposures, whether it's firefighters or  
17 other occupations that involve PFAS not from  
18 drinking water but from working with the material  
19 either in production or manufacturing or whatever.  
20 So those are the reasons why we excluded all  
21 occupational PFAS exposures from this study.

22 One thing to keep in mind is that the evidence  
23 that we get from these studies, this study, the  
24 multi-site study and all the other studies that have  
25 been done, both the C8 studies, the occupational

1 studies, all that evidence can be used to understand  
2 what the health effects of these chemicals are. We  
3 did something similar at Lejeune. We were asked by  
4 the Veterans Affairs to evaluate the evidence and  
5 most of the evidence that we looked at and used in  
6 building a case for which diseases the VA should  
7 give presumption for were based on occupational  
8 studies, they weren't based on Camp Lejeune studies  
9 because most of the information is from occupational  
10 studies. In this case with PFAS there are some  
11 occupational studies. Some of them are very small  
12 and in that case they're weak because they're small  
13 numbers. More of the studies are from community  
14 exposures so we're learning a lot more about PFAS  
15 health effects from those. All that evidence though  
16 is relevant both to firefighters, to workers who  
17 work with it and to people who get exposed from  
18 drinking water as well as from consumer products.  
19 So that -- so you don't have to be, in other words,  
20 you don't have to be in a study to have all this  
21 evidence relevant to your situation.

22 MS. AMICO: Okay. I just have a couple follow  
23 up questions to that. So has ATSDR -- is it, I  
24 guess, let me start with, is it appropriate for  
25 ATSDR to approach NIOSH and say we have a group of

1 firefighters at Pease who had drinking water  
2 exposure and occupational exposure and we're going  
3 to be doing multi-site study? I imagine other  
4 communities have firefighters that are also exposed,  
5 you know, across the nation that will be  
6 participating in the multi-site study. So is there  
7 any way to make this a separate study and would  
8 NIOSH be willing to partner with the ATSDR or is it  
9 appropriate for you guys to talk to them about that?

10 DR. BREYSSE: Yes, yes.

11 MS. AMICO: Okay. And have you talked to them  
12 about --

13 DR. BOVE: Yes.

14 MS. AMICO: -- it recently?

15 DR. BOVE: Not recently, no I have not. No.

16 MS. AMICO: Okay.

17 DR. BOVE: But again, we'd have to think about  
18 what the best cohort would be. There are  
19 firefighters at airports. There are firefighters at  
20 the military bases. There are firefighters who work  
21 in our communities. And the ones that NIOSH has  
22 been following apparently, according to them, did  
23 not use AFFF much and so that wouldn't be a good  
24 cohort. But so you'd have to think about what would  
25 be the best group to follow of firefighters, you

1 know. And you know, so we have to think about that.  
2 I mean it seems to me that there are a lot of fire -  
3 - there are a lot of firefighters and fire training  
4 going on at the military bases. The question is how  
5 good the data is to identify them. The data I've  
6 seen from the Defense Manpower Data Center, which is  
7 the personnel data for the military, is iffy when it  
8 comes to occupational information. So it may be  
9 difficult to do -- to really assemble a good cohort  
10 there. But these are the kinds of questions we'd  
11 have to ask. How -- what's the best information we  
12 can use to actually define a cohort that we're  
13 pretty sure uses AFFF at least on a routine basis or  
14 more often than not as opposed to, as I said, the  
15 NIOSH cohort. And then how can we assemble them,  
16 what information will help us assemble that group  
17 and then we can follow them over time. So that's --  
18 these are the questions NIOSH also has to grapple  
19 with.

20 MS. AMICO: Okay. I would just like to  
21 continue to revisit these conversations because I  
22 know that is a group of people we don't want to  
23 forget about here. And I hear you that everyone  
24 will benefit from this study and we're all going to  
25 benefit from that information. But I think when

1 people are exposed and they had no control on that  
2 exposure and they want to participate in something,  
3 it's like a way -- it's you know, I don't know, just  
4 I would hate to think that these people who have had  
5 a significant exposure, not only through the  
6 drinking water, through the foam, now we know it's  
7 in their gear too. I just, I don't know, maybe I'm  
8 coming at it from a more emotional place but I feel  
9 like we need to be paying attention to that group  
10 too and we can't forget about them and they're  
11 actually a really important population we need to  
12 learn from because of their exposure. So I want to  
13 continue this conversation about how the  
14 firefighters here can somehow play into maybe not  
15 this study but another possible study, whether it's  
16 with NIOSH or whatever. I think we need to keep  
17 those conversations open.

18 MR. OSGOOD: I have the same, I just -- while  
19 we're on the firefighter thing -- I had the same --  
20 I had the exact same question. And I understand why  
21 because it's a drinking water study that we're  
22 removing firefighters, I understand that. But is  
23 there any way that we can, I know you can talk to  
24 NIOSH or I can approach NIOSH and request this, but  
25 just saying because of AFFF to me is not enough.

1           Like I think we need to say there's multiple places  
2           that firefighters are exposed to this, through our  
3           firefighting equipment, AFFF, you know, there's lots  
4           of areas. So I just, I'm a little concerned that  
5           we've narrowed it down just to AFFF because I've  
6           been in the fire service for quite a long time and  
7           we used AFFF early on in my career but we haven't  
8           used AFFF in years so it's, you know, but it's still  
9           our -- my levels are up and many of my members'  
10          levels are up and that's concerning. If it's not  
11          the drinking water, you know, and it's not AFFF,  
12          there's something else in there.

13                 DR. BOVE: Right. And again --

14                 MR. OSGOOD: I'd love to get the answers to  
15          that.

16                 DR. BOVE: Yeah.

17                 MR. OSGOOD: And I know that's outside of what  
18          you're studying, but if we can work together to try  
19          to move that along that would be wonderful.

20                 DR. BOVE: Well there may be, again, NIOSH is  
21          following these cohorts.

22                 MR. OSGOOD: Yeah.

23                 DR. BOVE: And they said they don't use AFFF  
24          much, but they wear this equipment --

25                 MR. OSGOOD: Which they're probably accurate.

1 DR. BOVE: -- but they wear the equipment as  
2 you were pointing out. There may be some value, you  
3 know, if we can convince NIOSH of this or if it fits  
4 in with their protocol to do that, work with them,  
5 with the cohorts they're following. Again, I would  
6 think that if we can identify those firefighters who  
7 are actually training with it and using it more  
8 routinely and that would maybe be military bases and  
9 airports. If we can identify --

10 DR. BREYSSE: Of course some industrial  
11 firefighters as well.

12 DR. BOVE: Yeah, if we can find --

13 DR. BREYSSE: Refineries and chemical plants.

14 DR. BOVE: Yeah, right. Yeah, and again you'd  
15 have to be able to figure out a way to identify  
16 them.

17 MR. OSGOOD: Okay.

18 DR. BREYSSE: So Cliff, I think Alayna's had  
19 her --

20 CDR MUTTER: There's somebody on the phone.

21 DR. CARIGNAN: Pardon me, can I jump in on that  
22 comment? Can you guys hear me?

23 DR. BREYSSE: Sure.

24 CAPT SOMERS: Sort of.

25 DR. CARIGNAN: So I've been talking with

1 firefighters as well, this is -- I think we all hear  
2 from them quite frequently, are concerned about it,  
3 and I recently heard from a firefighter who works at  
4 a base that uses AFFF. But NIOSH came out years  
5 ago, I mean three years ago and collected a bunch of  
6 data and came back telling them to to wear PPE, but  
7 haven't done much else and I know that I've reached  
8 out to NIOSH. I've suggested to firefighters with  
9 concerns to reach out to NIOSH and really it doesn't  
10 seem like any of us are getting anywhere. At least  
11 getting much of a response from NIOSH and I was just  
12 wondering if you all would be able to help -- help  
13 community firefighters to sort of get an audience  
14 with NIOSH and get them to engage in a similar way  
15 that you guys are engaging with the Pease community.  
16 Maybe that is a way to move forward on this issue.

17 DR. BREYSSE: Well, we'll do our best. That's  
18 a great suggestion.

19 DR. CARIGNAN: Thank you.

20 DR. BREYSSE: So Alayna your card was up first  
21 but if you don't mind, if you have a firefighter  
22 question --

23 MR. LAZENBY: I do.

24 DR. BREYSSE: -- okay, good. Just want to keep  
25 a thread going.

1 MS. DAVIS: Okay. So I have a few questions.  
2 One was I thought I read something in the proof of  
3 concept that was regarding sampling tap water, so  
4 can you clarify who that would apply to? Was it  
5 part of the unexposed population to make sure that  
6 those people weren't exposed at their homes?

7 DR. BOVE: No, that was never in the protocol.

8 MS. DAVIS: It wasn't?

9 DR. BOVE: No.

10 MS. DAVIS: Okay. So I'll have to look back at  
11 that. All right. So can you tell us again what  
12 years the participants would've had to have been  
13 exposed on Pease for the Pease study?

14 DR. BOVE: Right. Well in the protocol we're  
15 saying from any time between 2004 and 2014. 2004  
16 was we thought that after 15 years, if your last  
17 exposure was later -- was longer ago than 15 years  
18 ago, given the half-life of PFHxS, we thought we  
19 wouldn't see much in the blood so we thought that  
20 would be a cut off. And looking at those who went  
21 through the biomonitoring program, the vast majority  
22 were exposed in that window. So -- but we can relax  
23 that. It just makes it harder to figure out -- if  
24 they weren't exposed -- if their last exposure was  
25 2003 or earlier it may be hard to estimate what

1           their levels are, given what we see now, you know.  
2           So that's one of the concerns. But we're not going  
3           to -- again, we're going to focus on those who went  
4           through the biomonitoring. If we can we'd like to  
5           limit it to those people who were last exposed no  
6           more than 15 years ago. If we have to relax that we  
7           will to reach our sample size goals, but hopefully  
8           we won't have to do that.

9           MS. DAVIS: Okay. So if anyone within that  
10          time frame participated in fire training exercises  
11          on Pease they would be eliminated from the study  
12          because that would be considered an occupational  
13          hazard?

14          DR. BOVE: Yeah. If they have occupational  
15          exposure, whether it's a firefighter or industrial  
16          worker who worked with the substance, yeah.

17          MS. DAVIS: Okay.

18          DR. BOVE: So it's just, again, we want to  
19          focus on drinking water exposures so that we can  
20          actually estimate cumulative PFAS serum levels over  
21          time.

22          MS. DAVIS: Okay. And then -- I don't know if  
23          I'm going to ask this question so that you get it,  
24          but hopefully you do. So in the end the goal, is it  
25          to -- is it to determine just the risks from

1 drinking water exposure to PFAS or just -- or is it  
2 actually based on the serum level in your blood, no  
3 matter how you were exposed?

4 DR. BOVE: It's based on the serum level.

5 MS. DAVIS: Okay.

6 DR. BOVE: It's based on the serum level of  
7 both the actual measured serum level and as I said,  
8 the cumulative serum level. Again, following the  
9 model of the C8 study.

10 MS. DAVIS: Okay. So then the people who were  
11 exposed occupationally still would get data from  
12 that because it's based on what their blood level  
13 would be versus how they were exposed.

14 DR. BOVE: Well, no. The -- again, we're going  
15 to exclude those people who were occu --

16 DR. BREYSSE: The data will be --

17 MS. DAVIS: The data --

18 DR. BREYSSE: -- informative --

19 MS. DAVIS: -- yeah, the data will give them  
20 information --

21 DR. BREYSSE: -- of that.

22 DR. BOVE: Right. That's what I was saying  
23 before --

24 MS. DAVIS: Okay.

25 DR. BOVE: -- yeah. I'm sorry, I misunderstood

1 your question.

2 MS. DAVIS: Okay. Yeah, okay. Thank you.

3 DR. BREYSSE: So just to be a little bit  
4 clearer, ATSDR's mission is to address community  
5 health concerns about hazardous waste and hazardous  
6 materials released into the environment. So our  
7 entrée here is the contaminated water from an  
8 industrial site, in this case, from a defense  
9 facility. That's what Congress asked us to do,  
10 that's our mandate and so that's why we're focusing  
11 on the water. We want to understand a little bit  
12 about maybe what the consumer products people are  
13 exposed to. Remember there's a big burden of  
14 exposure from consumer products as well, but we're  
15 really focusing on the water because that's ATSDR's  
16 mission. Cliff.

17 MR. LAZENBY: I had a question about the  
18 timeline. So you stated the work initiates once  
19 you've got approval.

20 DR. BOVE: The collection of data.

21 MR. LAZENBY: Okay.

22 DR. BOVE: But all this prep work can be done  
23 beforehand.

24 MR. LAZENBY: That was the question. So what  
25 is work that's initiated then so there's other, all

1 of that recruitment process, setting up office, all  
2 those kinds of things are done so that data  
3 collection can begin?

4 DR. BOVE: Well recruitment can't really get  
5 done until we get OMB approval. We can do all this  
6 outreach, we can -- the health department can send  
7 out letters to the people who participated in the  
8 Pease biomonitoring program to alert them about the  
9 study. We can be contacting -- the contractor can  
10 be contacting the Pease Development Authority and so  
11 on, and any other community. Also we're going to  
12 have to communicate with the Portsmouth community to  
13 get reference. So that all has to happen, it all  
14 can be happening without OMB approval. Once we get  
15 OMB approval we actually do the recruitment, collect  
16 the data and do the study.

17 DR. BREYSSE: Just so we're clear, we can't  
18 contact anybody directly ourselves. We don't have  
19 permission to. But the state can contact them and  
20 say would you give us permission to give your  
21 information to ATSDR. That can all be done before  
22 we start, right, so that when we're free to start  
23 the state can say, you know, here's the people who  
24 agreed to be contacted, you can contact them.

25 MR. LAZENBY: Well what you just described is

1 recruitment, is that right?

2 DR. BOVE: Yeah. Yeah.

3 DR. BREYSSE: Well it's not really recruitment  
4 until we call them up and ask them do you want to  
5 participate in the study and they sign the consent  
6 form that says I'm going to participate. We can't  
7 do any of that until OMB approves us and we wouldn't  
8 be able to do it anyway until the state contacted  
9 people and got permission for us to contact them.  
10 So that behind the scenes work can be done to set  
11 the stage for us so we're ready to go, we already  
12 have a whole bunch of people that we can call up at  
13 day one and say we'd like to come talk to you about  
14 the study.

15 MR. LAZENBY: When would be a reasonable time  
16 to comprehend then the arc of the project from that  
17 starting date forward?

18 DR. BOVE: From the starting date of  
19 recruitment or...

20 MR. LAZENBY: No, I'm sorry. Your OMB approval  
21 is in, then what happens? And what's the arc of  
22 that project and when do we expect, you know,  
23 results and that sort of thing?

24 DR. BOVE: Okay. Well I -- as soon as we get  
25 OMB approval, we start the recruitment. Okay. And

1 start data collection once we get -- recruit people  
2 in. And we envision that to take about a year to  
3 collect all the data we want to collect and then the  
4 contractor then has to clean the data set and get it  
5 to us so that might take another three to six months  
6 and then --

7 DR. PAVUK: Analyze the --

8 DR. BOVE: Huh?

9 DR. PAVUK: Analyze the sample.

10 DR. BOVE: Analyze the samples, right --

11 DR. PAVUK: Analyze all the blood samples.

12 DR. BOVE: Yeah, yeah. So that's another --  
13 yeah, right. So now we're talking maybe probably  
14 about two years from the OMB approval that we  
15 actually get the data, something like that,  
16 reasonable.

17 DR. PAVUK: There is a (inaudible).

18 DR. BOVE: And then we have to analyze it. So  
19 there are -- it takes some time. So with that  
20 analysis, writing it up, getting clearance from the  
21 agency, takes another year at least so that's sort  
22 of -- it takes a while, in other words.

23 MR. LAZENBY: So it's going to take a good  
24 three years-ish from starting?

25 DR. BOVE: From when we get OMB approval, yeah.

1 I think that's probably realistic.

2 DR. BREYSSE: And of course we'll do everything  
3 we can to do it as quickly...

4 DR. PAVUK: Preliminary data, most likely in  
5 two years.

6 DR. BOVE: I mean, again, we don't know when  
7 we'll get OMB approval. If it happens earlier this  
8 thing can get moving quicker.

9 DR. DURANT: Can I ask a question about  
10 exposure? So is the contractor going to do all this  
11 biomonitoring survey work, are they going to be  
12 involved in exposures (inaudible)?

13 DR. BOVE: What we're asking them to do is  
14 collect all the data for us. So any sample data --

15 DR. PAVUK: No --

16 DR. BOVE: Huh?

17 DR. PAVUK: I'm sorry, go ahead.

18 DR. BOVE: Any sample data that has been  
19 collected already, including monitoring wells near  
20 the Harrison and the, I forget the name of the other  
21 well, the two wells that are still operating, and  
22 any reports that you -- that the Air Force did in  
23 order to deal with the TCE problem with the Haven  
24 well. So I think there's probably some information  
25 there, some reports that would help us with the

1 hydrogeologic characteristics of the area, maybe  
2 some soil characteristics too depending on how the  
3 TCE actually affected that well. So given that  
4 information then we're going to take that back and  
5 see what level of modeling is necessary. Okay. So  
6 we're not convinced yet what level of modeling we  
7 want to --

8 DR. BREYSSE: To assign exposure.

9 DR. BOVE: -- to assign -- to at least -- No.  
10 To -- for first to get an estimate of historical  
11 contamination in the drinking water system. Okay.  
12 So that's what I'm saying. So we're going to  
13 collect this information, assess what level of  
14 modeling is necessary to be able to historically  
15 reconstruct the contamination levels in the drinking  
16 water. And then from that we probably do some kind  
17 of one compartment model like was done at the C8  
18 study to estimate PFAS serum levels and then  
19 accumulate it just like they did. Again, using the  
20 C8 study as a model.

21 DR. DURANT: But is the contractor going to do  
22 the modeling work?

23 DR. BOVE: No. They're collecting the  
24 information for us.

25 DR. DURANT: Who's going to do the modeling for

1           it?

2           DR. BOVE: Well, we are. We are. At this  
3 point that's how we envision it.

4           DR. DURANT: So we meaning ATSDR?

5           DR. BOVE: Yeah.

6           DR. DURANT: And so who on your team is the  
7 ground water modeling expert?

8           DR. BOVE: We have two people who have worked  
9 on the Camp Lejeune water modeling, Jason Sautner  
10 and Rene, I forget his last name, Rene Suarez. So  
11 they will be involved. Actually, there's a third  
12 person, Barbara Anderson. Actually all three of  
13 them worked on the Camp Lejeune study. We may  
14 subcontract some of the work out to other -- others  
15 who also worked on the Camp Lejeune project. We had  
16 Georgia Tech involved, for example. I don't know if  
17 that will still be the case. And also someone from  
18 USGS who was involved, or formerly from USGS. So  
19 there -- we haven't set up a team yet to do this,  
20 and I think part of what we're thinking is we need  
21 to see what information is actually available. And  
22 it may turn out that we can use the 2014 sample data  
23 and use that pretty much as an estimate going way  
24 back in time without doing any sophisticated  
25 modeling. We may come to that conclusion. I

1 mentioned a couple of approaches in one of the CAP  
2 meetings here which was developed by Georgia Tech  
3 that used the well information, for example, from  
4 the Haven well after it was shut down and monitoring  
5 wells data around it to predict back. There is sort  
6 of a black box method. So these are things we're  
7 mulling around. We haven't made a decision. And  
8 definitely if you're interested in being involved in  
9 that process or providing advice, that would be  
10 terrific.

11 DR. DURANT: And so what's the budget for that  
12 work?

13 DR. BREYSSE: Well we don't have a separate  
14 budget for that, but we have --

15 DR. PAVUK: There is -- there's in the  
16 preliminary contract to collect data --

17 DR. BOVE: To collect the data they're going to  
18 budget that.

19 DR. PAVUK: -- to collect data there's \$75,000  
20 to collect --

21 DR. BOVE: Right, right.

22 DR. PAVUK: -- just to collect the data for the  
23 contractor on different aspects of --

24 DR. BOVE: Yeah. It's part of the contract.  
25 Yeah.

1 DR. PAVUK: -- what a model --

2 DR. BREYSSE: These are full time staff that we  
3 pay to support our site assessment work, and so we  
4 will tap them to support this as needed, depending  
5 on what the study investigators think is most  
6 appropriate.

7 DR. BOVE: But we will be back and forth with  
8 you with the CAP on this as we see what all  
9 information we can gather. We also need to, you  
10 know I mean, we're asking the contractor to see if  
11 the Air Force has information on the extent of the  
12 AFFF use on base. When they started, how much they  
13 used per year, if they have that information, where  
14 they used it and so on. So we're going to ask the  
15 contractor to get as much information as possible  
16 and then we'll see what we have.

17 DR. DURANT: And last question. Will one of  
18 the modeling team be coming to these meetings and  
19 participating in the conversation?

20 DR. BOVE: They either could do that or they  
21 could participate by a conference call. That would,  
22 you know -- but yes. In fact they did one  
23 conference call, Jason Sautner was on one call.

24 DR. BREYSSE: But if we use Camp Lejeune as a  
25 model, our modelers were frequent attendees at our

1           Camp Lejeune CAP meetings. So any other questions  
2           about the proof of concept study?

3           MS. AMICO: I have one more question. I just  
4           wanted to better understand how the military  
5           population fits into this as well 'cause you talk  
6           about people before 2004 so we know that that's  
7           probably not active Air Force but then what about  
8           members of the current air national guard, are they  
9           eligible to be part of this study if they're here  
10          drinking the water but maybe not using AFFF?

11          DR. BOVE: Well again, we're going to focus on  
12          those people who participated in the biomonitoring  
13          so we have two points in time. Once we go through  
14          that and we still haven't reached our goals then we  
15          would try to recruit those who would've been  
16          eligible for that biomonitoring program --

17          MS. AMICO: Well I know there are several  
18          members --

19          DR. BOVE: -- so I don't know if the air  
20          national guard --

21          MS. AMICO: -- of the air national guard here  
22          that did participate in the blood testing program so  
23          then they would be eligible.

24          DR. BOVE: As long as they're not exposed to  
25          AFFF from working with it.

1 MS. AMICO: Okay.

2 DR. BOVE: Then we can estimate their  
3 cumulative serum levels.

4 MS. AMICO: Okay.

5 DR. BREYSSE: I've been a little remiss. We  
6 have two new CAP members who joined, we didn't  
7 introduce themselves. Just to be on the record, you  
8 want to just --

9 MS. DALTON: Oh sure. Michelle Dalton, I'm  
10 from Testing for Pease. I apologize for being late,  
11 it's been a crazy day.

12 DR. BREYSSE: John.

13 DR. DURANT: I'm John Durant from Tufts  
14 University.

15 DR. BREYSSE: So no more on the proof of  
16 concepts study? If not I'll turn to -- Marian do  
17 you have an update on the multi-site study?

18 **MULTI-SITE STUDY UPDATE**

19 DR. PAVUK: Thank you, Dr. Breysse. So in  
20 parallel to our efforts on Pease we've been also  
21 moving on our multi-site project. Multi-site  
22 project is a multi-site study; it's projected as  
23 based on earlier feasibility study and other  
24 documents developed earlier that you may be familiar  
25 with. It has a target of enrolling about 6,000

1 adults and 2,000 children over the -- it's called a  
2 multi-site. There are some -- there are two,  
3 basically two mechanisms or two major efforts that  
4 we were working on and one was to develop a draft  
5 protocol for a multi-site study including all the  
6 forms and tools, just questionnaires that could be  
7 used in multi-site study based on our proof of  
8 concept study. I will describe a little bit more.  
9 Those activities, the second component was to start  
10 working on designing the process or the concept of  
11 how those studies will be conducted different from  
12 the mechanism that we used on proof of concept that  
13 was awarded as a contract. So the idea for a multi-  
14 site study was to do a cooperative agreement through  
15 the extramural research project office at the ATSDR  
16 CDC. The general concept is called extramural  
17 program of office notice of funding opportunity that  
18 we refer to as NOFO concept.

19 As I said, the awards would be different and  
20 there will be less restrictions on the funding for  
21 the multi-site study as Dr. Breysse mentioned,  
22 being the recipient of the awards and being able to  
23 apply for the funding that will be available to us  
24 from Department of Defense.

25 As I mentioned, the target creates about 6,000

1 adults, 2,000 children being able to apply for the  
2 awards, assumes that we do have money available for  
3 a number of years, number of funding years as  
4 specified in the appropriation bills. We're  
5 assuming that that funding would be available for  
6 2019, '20 and '21. At this point it's anticipated  
7 that the funding could be as much as ten million  
8 dollars a year. From that funding, we really can  
9 only estimate at this point the number of awards and  
10 approximate range of awards for different sites. So  
11 if we estimate that there's about eight to ten  
12 million dollars available a year we could be able to  
13 fund about four to six awards together with  
14 approximate range of awards of about one point five  
15 to three million dollars.

16 As Frank and Dr. Breyse mentioned earlier the  
17 proof of concept, the multi-site study is built on  
18 one proof of concept study so we really are assuming  
19 that the methods and the core activities in the  
20 multi-site study will be modeled on the proof of  
21 concept Pease study. So we estimate we are assuming  
22 that we'll be drawing people in the collecting data  
23 and using instruments that are really based on Pease  
24 study, collecting blood to measure PFAS and clinical  
25 in effect biomarkers that will mirror the Pease

1 study.

2 So we really, what we call a core activities or  
3 the core efforts for the multi-site study will be  
4 based and mirrored out of Pease. We have been in  
5 discussions and trying to figure out additional  
6 mechanisms for the recipients of different awards  
7 depending on site conditions and the different  
8 circumstances in different communities around the  
9 country to be able and to provide additional or so-  
10 called amended proposals or programs to investigate  
11 a special site specific conditions in different  
12 sites. So in trying to address those different  
13 things our work on the protocol basically focused on  
14 how to address general or different conditions,  
15 different sites, that basically addresses two major  
16 things as refer to sampling and recruitment. The  
17 general protocol that could be used at multi-site  
18 study must address sites that have either single or  
19 complex water system where people can be recruited,  
20 must be able to address recruiting and sampling from  
21 communities that are around ex-military facilities  
22 but also at facilities that are of industrial or  
23 other use of PFAS. We're still focused on primary  
24 focusing on the contamination of drinking water  
25 around those sites and facilities. So our

1 collection forms, tracking forms, consent forms have  
2 been revised to address those concerns. Similarly,  
3 as Frank mentioned, we are still working on  
4 addressing manual procedures and rules of behavior  
5 and social security number applications with our  
6 office of security and privacy.

7 The process, as this process is open to  
8 recipients and awardees, the ATSDR CDC will not be  
9 specifically selecting sites where this research  
10 will take place. At the same time, we need to  
11 create a mechanism and review process for those  
12 awards to come, those proposals to come to CDC and  
13 being reviewed and awarded. The NOFO process,  
14 that's why we started the process a long time before  
15 the funding is available so that this can be all  
16 lined up and have all the appropriate documentations  
17 developed with the extramural program at the CDC.

18 The protocol, the draft protocol, we're  
19 preparing the draft protocol for external peer  
20 review similar to protocol for Pease that had to be  
21 externally peer reviewed before it can be cleared by  
22 the agency and before we can obtain CDC IRB approval  
23 for the multi-site study that is a prerequisite of  
24 NOFO process progressing any further.

25 DR. BREYSSE: So I know there's a time line

1 here that I'm sure will come up and I can simplify  
2 this very easily. So in a perfect world, in my  
3 dream world, October 1<sup>st</sup> we get ten million dollars  
4 and it's direct transfer so it comes to us right  
5 away. So what that means is we have to spend that  
6 money by the end of September of that year. So we  
7 have to have a proposal approved, vetted, competed  
8 for, reviewed in order to get those monies out the  
9 door by next September. So that's our time line.  
10 So that's going to be aggressive for us going  
11 forward. But if we don't do that, you know, the  
12 money goes away if we don't spend it by the end of  
13 September of 2019. So we're all acutely aware of  
14 that time constraint and I'm confident we'll be able  
15 to make it but that's -- all the steps we've already  
16 talked through about them, the proof of concept  
17 study, we have to go through now for this as well  
18 but because we get money, you know, budgeted on an  
19 annual basis, this money can't be carried forward  
20 for us. So that's our constraint.

21 MS. AMICO: So that includes the timeline for  
22 IRB and OMB?

23 DR. BREYSSE: Yeah, yeah.

24 DR. PAVUK: I should just mention that this is  
25 -- since this is different process than awarding

1 contract, some of the processes can go in parallel.  
2 The request for the proposals can be published  
3 before all the approvals are achieved. Each  
4 programs and people that apply need time between  
5 three to six months to react to the notice of  
6 funding opportunities and develop, you know, their  
7 response to our protocols and stuff. So those  
8 things will go in parallel and they can -- they'll  
9 be in the process of applying before final OMB  
10 approvals are in place. We think that those could  
11 be timed, you know, together so that the time for  
12 preparation, review, and approval will kind of meet  
13 at the end so that there's time for the awards.

14 DR. BOVE: And there may be some give and take  
15 too.

16 DR. PAVUK: Right.

17 DR. BOVE: There may be some give and take too.

18 DR. BREYSSE: One of the hallmarks -- two  
19 hallmarks here, we want it to be a competitive  
20 process and it'll be up to us to give kind of what  
21 we're looking for in a competitive proposal like  
22 anybody would but we also want to build in, as  
23 Marian said, some flexibility where if a site in  
24 addition to the core work that we expect to be done,  
25 if they want to do something novel, different,

1 unique, they're free to add that to the study going  
2 forward. So they won't be constrained to add, you  
3 know, to do just only exactly what we say going  
4 forward. And so we're actually looking for,  
5 hopefully, some interesting opportunities to come  
6 out of that flexibility.

7 DR. PAVUK: And we want to build that mechanism  
8 to the award so that we do not have to go through  
9 process of changing the awards or trying to create  
10 new awards so that it's kind of organically  
11 incorporated in the original proposals that they be  
12 able to respond to.

13 DR. BOVE: But they will have to be the core, I  
14 think.

15 DR. PAVUK: Right. So the --

16 DR. BOVE: Which is the same thing that we're  
17 doing with the Pease study.

18 DR. PAVUK: -- right. So we assume that all  
19 the PFAS analysis will be done by CDC lab for all  
20 the sites for the consistency and continuity and  
21 comparability results. We also will guide the  
22 different recipients to collaborate and to agree on  
23 high level of coordination for clinical and research  
24 biomarkers so that we can achieve those kind of  
25 efficiencies and comparability across the different

1 sites and studies.

2 DR. BREYSSE: So I'm actually kind of excited  
3 about it. I think it's an excellent opportunity to  
4 do some creative science. It's going to address  
5 important community health concerns. Alayna?

6 MS. DAVIS: So is the difference between the  
7 Pease study and this --

8 DR. PAVUK: Multi-site.

9 MS. DAVIS: -- the multi-site, sorry. That --  
10 I totally forgot my question. Sorry.

11 DR. BREYSSE: All the core measurements we're  
12 doing here are going to be the same at every part of  
13 the multi-site study. What we're allowing then  
14 through the multi-site study to say well we have a  
15 creative new developmental measure that we want to  
16 apply and it's not the one that we've been using.  
17 They will be free to say we'd like to do something  
18 new and novel and as long as, you know, through the  
19 peer review process, through the grant review  
20 process we think that's justifiable and the  
21 resources are there to support that, you know, we  
22 will allow them to add something to the study going  
23 forward. But they can't do that at the expense of  
24 the core set of stuff.

25 DR. BOVE: It's more like, you know, they could

1 pilot.

2 MS. DAVIS: If I remember I'll come back, but  
3 go ahead.

4 MS. AMICO: So when you talk about having to  
5 spend the ten million dollars before September of  
6 2019, are you talking about the exposure  
7 assessments?

8 DR. BREYSSE: No.

9 MS. AMICO: You're actually talking about what  
10 --

11 DR. BREYSSE: The multi-site study.

12 MS. AMICO: -- you -- and you feel -- I don't -  
13 - I guess I'm not understanding, I thought the  
14 exposure assessment was the first step then you were  
15 going to pick the site, so maybe I'm not following.

16 DR. BREYSSE: Okay, so --

17 MS. AMICO: Did something change?

18 DR. BREYSSE: -- we haven't said anything about  
19 the exposure assessments yet.

20 MS. AMICO: Okay.

21 DR. BREYSSE: So that's a parallel effort  
22 that's ongoing right now and we're about to announce  
23 a contractor to do the exposure assessments and  
24 we're going to start that work this fall as well.

25 MS. AMICO: Okay.

1 DR. BREYSSE: We're going to announce those  
2 sites. Probably there's some additional leg work  
3 we'll have the contractor to do to make sure that we  
4 have the best eight sites. We're going to announce  
5 what those eight sites are probably sometime in the  
6 late fall, early next year.

7 MS. AMICO: Okay.

8 DR. BREYSSE: And they'll start moving forward  
9 with that. And so that's going to happen parallel  
10 to getting this grant out the door for the multi-  
11 site study. Now there's a good chance that the  
12 sites that are doing the exposure assessment are  
13 also going to be competitive sites for the multi-  
14 site study, but we're not linking the two; they're  
15 not going to be like the multi-site study can only  
16 be a site that's doing the exposure assessment or  
17 that you, you know, so they're -- they can inform  
18 the multi-site study and we're trying to compress  
19 the work here in part because of how the funding  
20 came through. To be honest the sequence of things  
21 isn't exactly like we'd do if we were just free to  
22 kind of plan it, do it our own way. But that multi-  
23 site, the exposure assessment money has to be just  
24 Department of Defense sites and we have to get that  
25 work started very soon going forward with that

1 money. Because again, we're going to award that  
2 money, that ten million dollars or that -- what part  
3 of the ten million dollars is going to go to that is  
4 going to be given to a contractor probably early  
5 next week, similar to the money for the multi-site  
6 study. So we're moving with that forward. And to  
7 the extent that that work is completed, it could  
8 inform our decision to pick places for the multi-  
9 site study and if it's not completed it might not be  
10 informative to our selection of multi-site study but  
11 it might be informative for the analysis of the  
12 results from multi-site study if there's an overlap  
13 between the two sites.

14 MS. AMICO: Okay.

15 DR. PAVUK: So we're unlikely, you know, to  
16 award all the sites for the multi-site study next  
17 year, right, in 2019. We do assume at this point  
18 that the process will happen over a period of two or  
19 three years so there'll be some leeway period of,  
20 you know, making those awards, maybe later years  
21 than some of the data may be available.

22 MS. AMICO: Okay.

23 DR. PAVUK: The results from exposure  
24 investigation, for example.

25 MS. AMICO: I guess that's good to know because

1 I think I was under the impression the exposure  
2 assessments were being done first --

3 DR. PAVUK: Yes, they are.

4 MS. AMICO: -- and --

5 DR. BREYSSE: In a perfect world they'd be  
6 done, they'd be completed and that would totally  
7 inform --

8 MS. AMICO: Correct. I guess that's what I  
9 thought was happening.

10 DR. PAVUK: We're just --

11 DR. BREYSSE: -- but we don't have the luxury  
12 of waiting for that to be done because we'll lose  
13 the money.

14 MS. AMICO: Got you.

15 DR. PAVUK: We need to move in parallel for  
16 that and have the processes set up and lined up even  
17 if the other information is not yet available.

18 MS. AMICO: Okay.

19 DR. BREYSSE: So my father who was in the Army,  
20 oddly enough, he used to always say, it's fair to  
21 say well that's no way to run the Navy. And so  
22 that's not how we do stuff if I were totally in  
23 charge and I had control of the resources, but  
24 that's how we're going to have to manage it to get  
25 the work done.

1 MS. AMICO: Okay. I guess something else,  
2 Marian, you had said that industrial sites could be  
3 included in the multi-site study. I feel like this  
4 is news. I think we've asked about other sites  
5 before, at non-DOD sites and we've never been -- I  
6 don't recall ever you saying that --

7 DR. BREYSSE: We're not precluded from doing  
8 industrial sites. The only -- we're only precluded  
9 from industrial sites for the exposure assessment.

10 MS. AMICO: Okay.

11 DR. BREYSSE: So --

12 MS. AMICO: Distinction for us to know --

13 DR. BREYSSE: Yeah.

14 MS. AMICO: -- as we talk to many community  
15 members across the nation, so.

16 DR. BREYSSE: Congress said we have to do at  
17 least eight DOD sites for the exposure assessment.  
18 There's no such language around the multi-site  
19 study. So we're free to pick the best sites that  
20 help us answer the most important questions, and  
21 that's all I'm going to say about that right now.

22 MS. AMICO: Okay. The other thing I wanted to  
23 clarify is who is choosing these sites because I  
24 thought that I heard you say ATSDR is not choosing  
25 them or --

1 DR. PAVUK: Correct. Correct. It is the --

2 MS. AMICO: Who's choosing the sites?

3 DR. PAVUK: Well indirectly, as I said, the  
4 approach has changed as we are announcing these  
5 notifications of funding opportunity, so we are  
6 opening up, you know, the proposals to people to  
7 apply and propose the sites instead of handpicking  
8 the sites around the country. So the process  
9 starting around, if you do the contract, you have to  
10 tell contractor we are doing these sites then we  
11 open the funding opportunity to people that can  
12 apply for the funding. They can propose which sites  
13 they want to study and we have to review and  
14 evaluate those proposals.

15 DR. BREYSSE: And we'll pick the strongest  
16 proposal. So ultimately we'll be picking sites.

17 MS. AMICO: Okay, okay. That's what I wanted  
18 to be clear. You are picking them; it's just you're  
19 not hand picking them, you're allowing people to  
20 apply. How does a community apply? Do they need a  
21 university partner or somebody who's willing to do  
22 this work?

23 DR. BREYSSE: You know, I don't think there's  
24 anything in the law that says that has to be the  
25 case, but it would be hard for me to imagine a

1 community competing successfully without the proper  
2 scientific support and expertise that would come  
3 from a university or a nonprofit or a consulting  
4 firm.

5 DR. PAVUK: These are research proposals so  
6 they are requirement in research proposals and the  
7 guidance for people, you know, the capabilities and  
8 the desired qualifications to apply presumed that  
9 you would have experience in conducting  
10 epidemiologic studies, that you have capabilities in  
11 some water modeling data management, data analysis,  
12 that you've done some work like that similar to that  
13 before. So all those things will be or are listed  
14 are parts of that notification.

15 DR. BREYSSE: So I will tell you that it's  
16 likely going to be that one of the defining criteria  
17 of being a successful applicant will be that you  
18 have to have a relationship with the affected  
19 community. So there has to be, you know, some sort  
20 of cooperation that's demonstrated through some  
21 interaction with the affected community to be, you  
22 know, as one of the competitive review criteria. So  
23 if a community in Pennsylvania really wants to help,  
24 you know, and some university in Pennsylvania wants  
25 to do it then they need to get together and show

1           that we're going to work together and it will be a  
2           part as a community member of that effort. And if  
3           you want to have a successful application you need  
4           to have a community partner, that's us, so let's  
5           figure out how to do it together. It's not an  
6           unusual approach to these types of grants that that  
7           expectation of that community partnership is there  
8           and we hope that those develop organically in the  
9           affected communities in collaboration with the  
10          scientists who have that kind of interest as well.

11           MS. AMICO: And when do you anticipate this  
12          will open that people can apply?

13           DR. BREYSSE: If we get award, so I don't have  
14          the exact dates in front me, so if we take September  
15          20 -- how many days in September?

16           DR. BOVE: Thirty.

17           DR. BREYSSE: Thirty? September 30<sup>th</sup> and we --  
18          that's -- the money has to be awarded by September  
19          30<sup>th</sup> next year so when we back up, you know, you need  
20          to give, as Marian said, you know, two or three  
21          months at least for people to prepare their  
22          proposals and we're going to need a month or so to  
23          review the proposals and our business office is  
24          going to need weeks to kind of --

25           DR. PAVUK: Two months.

1 DR. BREYSSE: -- you know, to get the money out  
2 the door. So you know, I don't think any of that  
3 can happen if we don't announce this sometime late  
4 spring. But we're trying to back out all those  
5 dates, you know, as we walk this back.

6 MS. AMICO: Well once you do announce that  
7 please let us know --

8 DR. BREYSSE: Yeah.

9 MS. AMICO: -- because we have a lot of  
10 national community leader --

11 DR. BREYSSE: Oh, absolutely.

12 MS. AMICO: -- partners that are very  
13 interested and especially now that it's clear that  
14 industrial sites are not excluded I think people  
15 across the nation would find that to be good news.

16 DR. BREYSSE: You can start telling people now  
17 to --

18 MS. AMICO: Sure, I know. I'm just --

19 DR. BREYSSE: -- expect this.

20 MS. AMICO: -- confused. Do you have any  
21 information on line that we can direct people  
22 towards?

23 DR. BREYSSE: We're not that far yet.

24 MS. AMICO: Okay.

25 DR. BREYSSE: Our goal right now, I tell you,

1 was getting the first ten million dollars out the  
2 door for the exposure assessments, the Pease proof  
3 of concept, and I'm happy to say we've been  
4 successful. That's been taking a lot of our time  
5 now. Now we're going to focus like a laser beam on  
6 the multi-site study going forward. We'll start  
7 developing some of those materials and get the time  
8 lines in place and start holding listening sessions  
9 where communities and investigators can call in and  
10 ask questions about the plans. So all those things  
11 will start coming out.

12 MS. AMICO: I'm just trying to see if I have  
13 any other questions. I guess I just want to be  
14 clear with the multi-site study, would that also  
15 exclude any occupationally exposed people? And  
16 would it also exclude active military people?  
17 Because I think of a place like Colorado that has an  
18 active base where people were exposed so different  
19 than here which is a closed base, if they were to be  
20 one of the sites, are active military allowed to be  
21 in the study and occupationally exposed people  
22 allowed to be in the multi-site study?

23 DR. PAVUK: At this point the protocol is  
24 similar to Pease that would exclude occupationally  
25 exposed people. If you work directly with PFAS in

1 production or use industrially, we still are under  
2 impression that we are not studying occupational  
3 exposures as a main directive of the study.

4 MS. AMICO: And --

5 DR. BOVE: But it doesn't exclude military  
6 people who are exposed exclusively by drinking, well  
7 exclusively -- that weren't exposed using AFFF but  
8 were exposed by drinking water.

9 MS. AMICO: Okay. Does ATSDR have any plans to  
10 do a separate military study? Is this something, I  
11 know you said you're thinking about a lot of  
12 different ideas, have you given any thought to  
13 addressing military population even past exposures  
14 or present, just in their own study?

15 DR. BREYSSE: So we have and from two angles,  
16 one is active and one is the veterans or retired  
17 military personnel. The active personnel, again, I  
18 don't want this to sound like in any way I'm  
19 diminishing the value of it, but they're technically  
20 workers. And so in the CDC hierarchy we have to  
21 defer to NIOSH to do studies on occupational  
22 settings. So that would be the first place we try  
23 and start with that again but we are planning and  
24 thinking about what would we do to address the  
25 concerns about retired service men in terms of the

1 veterans and what would that look like. And we have  
2 a lot of experience with that with Camp Lejeune.  
3 That would be a big challenge as well, but that's  
4 certainly on our horizon and we're not ruling out  
5 the active service men as the folks have a study by  
6 themselves. But again, it's a complication.  
7 Remember, we can't do everything with any one study.  
8 And to make sure that we have things that's  
9 scientifically defensible as possible, sometimes we  
10 make hard decisions about where we draw boundaries  
11 between who can and can't be in the study or what  
12 can and can't be studied. And so as we move forward  
13 with this we're going to be thinking all these  
14 things through and making decisions about that. And  
15 what I can commit to is we will share those thoughts  
16 and discussions with you and the decisions that come  
17 out of that and we won't share them as a, you know,  
18 this is now what we decided. It'll be a discussion  
19 going forward.

20 DR. BOVE: But we have not, as I said, we have  
21 not excluded a military, active military if their  
22 exposure is drinking water; they're just like  
23 anybody else.

24 DR. BREYSSE: But it wouldn't be a study of  
25 active duty. We wouldn't -- that wouldn't be the

1 focus of our effort, per se.

2 DR. BOVE: Well, it could if one -- if someone  
3 -- if someone, if an academic institution came in  
4 and said here is a group of military and civilian  
5 workers at a base who did not use AFFF but were  
6 exposed because AFFF somehow got in their drinking  
7 water. I'm not sure how that would happen, but  
8 there may be a situation where you can isolate those  
9 people who just were exposed via the drinking water.  
10 I don't see why we would necessarily exclude them.  
11 You know, and certainly if we -- we could try to do  
12 Camp Lejeune style studies of people who were  
13 exposed, military and civilian workers who were  
14 exposed in the distant past and look at cancers. I  
15 mean, that would be a good group to look at cancers  
16 because enough time has elapsed since the time of  
17 exposure to the time of the cancer might develop.  
18 Also if you -- because you need a lot of people to  
19 look at cancers; if you could assemble a large  
20 population like we did at Camp Lejeune we can, you  
21 know, do that. So these are things we've been  
22 thinking about. Actually we mentioned it in the  
23 feasibility assessment as that's something to think  
24 about. So again, it would be identifying those  
25 bases where this has occurred where, you know, we're

1           pretty sure that the exposures are to drinking  
2           water, not to AFFF from working with it or  
3           firefighting if we can distinguish -- if we feel  
4           good that we can distinguish them. And actually I  
5           will go back to the DMDC data I have. For Camp  
6           Lejeune itself it may be hard to determine who might  
7           have been a firefighter, but there is New River Air  
8           Station attached to Camp Lejeune and I have that  
9           data as well and I'm going to go back and see how  
10          good that occupational data actually is. I don't  
11          remember because I didn't really use them in the  
12          previous study, I'm using them now, and so I'll go  
13          back and look at that. I'm not, what's the word,  
14          optimistic that the data is that good but I'll, but  
15          it may be better because actually it's an air  
16          station as opposed to just a marine base. It's a  
17          air station attached to the marine base.

18                 DR. BREYSSE: So I don't know the order in  
19          which the tents went up so...

20                 MS. DAVIS: So just to make sure I understand  
21          correctly, so the multi-site study is different from  
22          the Pease study in that whoever is awarded the, I  
23          don't know if you want to call it contract, but  
24          whoever receives the award --

25                 DR. BREYSSE: Partner agreement.

1 MS. DAVIS: -- will be conducting all of the  
2 analysis versus Pease, ATSDR is conducting the  
3 analysis?

4 DR. BREYSSE: So let me -- so I want to be  
5 careful I don't speak out of turn because we haven't  
6 really talked too much about these details, but one  
7 model would be say Pennsylvania gets one of the  
8 sites and it's awarded to a university in  
9 Pennsylvania, they would be responsible for running  
10 that site, analyzing the data from that site and  
11 then they'd send it to us. And we have now -- we'd  
12 be responsible for analyzing the pooled data sent  
13 from all the sites, whereas the individual  
14 investigator would be free to kind of look at site-  
15 specific analyses and do publications based on the  
16 work at those sites. And they -- but they would  
17 also be participating in the joint analysis which is  
18 really where the power is going to come from, from  
19 the national study coming forward. So all the data  
20 will come back here and we will do the combined  
21 analysis.

22 MS. DAVIS: So will there be a different, I  
23 mean, whoever is awarded it, is it going to be the  
24 same study essentially at all the sites?

25 DR. BREYSSE: Yeah.

1 MS. DAVIS: Okay. So then you have a  
2 comparison for all the sites.

3 DR. BREYSSE: Yep.

4 MS. DAVIS: And then with the target numbers of  
5 the 6,000 and 2,000, is that per site or is that  
6 total across all the four to six sites that you are  
7 anticipating?

8 DR. PAVUK: That was total.

9 MS. DAVIS: Total. So there could be like 2000  
10 in Pennsylvania and 1,000 somewhere else, but all of  
11 the participants would be participating in the same  
12 type of study, just in a different site.

13 DR. BREYSSE: Yeah.

14 MS. DAVIS: Okay.

15 DR. BREYSSE: And there's lots of examples of  
16 this where NIH -- different -- NIH funds multi-site  
17 studies for cardiovascular disease or diabetes and  
18 stuff. So the model is pretty well established.

19 DR. SCHAIER: Hi, this is Laurel Schaider.  
20 Andrea and Alayna asked some of my questions  
21 already, but I was curious a little bit more about  
22 the criteria for the multi-site study. In addition  
23 to industrial sites there are some nonmilitary AFFF  
24 sites as well, for instance, on Cape Cod. So in  
25 addition to having a strong team and the partnership

1           between the researchers and the community kind of in  
2           place, are communities that don't have biomonitoring  
3           data already kind of at a disadvantage compared to  
4           the sites that are in the exposure assessment or  
5           what are the, I'm thinking of what communities might  
6           be able to do, what information they might want to  
7           put together that would make them stronger and if  
8           there's no biomonitoring data would that make it  
9           sort of harder for them to be picked for the multi-  
10          site study.

11           DR. BOVE: I don't think so. For example, if a  
12          community is having current exposure, right, and  
13          part of the proposal is to do biomonitoring like  
14          we're doing at Pease, for example, that would be a  
15          strong proposal. But there's no, we're not ruling  
16          any of these things out as long as, again, it fits  
17          the -- what we're doing at Pease at the same time.  
18          I mean, I'm assuming that all the other sites will  
19          have residential exposure as opposed to Pease but  
20          that's about it. You know, and there's no reason to  
21          -- that that wouldn't -- couldn't be a strong  
22          proposal even though they haven't done biomonitoring  
23          yet.

24           DR. BREYSSE: But in general you'll have to  
25          justify why this is a good place to include in terms

1 of the magnitude, frequency, duration and exposure.  
2 And you have to build a case for that. You know,  
3 biomonitoring will help but if you don't have it you  
4 can always use some of the simple models and  
5 estimate what the monitoring levels would be based  
6 on what you know about the water. But if you don't  
7 know anything about what's in the water, you don't  
8 know anything about how long it's been in the water,  
9 you know, you'll be at a disadvantage.

10 DR. PAVUK: I mean, there needs to be some  
11 information of the source of PFAS in the community.  
12 So if you can justify, you know, where it's coming  
13 from you do not necessarily have to have  
14 biomonitoring.

15 DR. SCHAIDER: Thank you.

16 MR. HARBESON: I'm just curious as part of the  
17 core requirements for the multi-sites study if you  
18 will also be requesting that social security numbers  
19 will be provided for long-term tracking in those as  
20 well.

21 DR. PAVUK: Yes, we are, at this point. It's a  
22 really important part of evaluation of the self-  
23 report of many of those medical diagnosis that we're  
24 asking for are not reported very well if you self-  
25 report and the C8 showed, you know, you do need the

1           medical verification, especially in the settings as  
2           we are in now, you know, that has high visibility  
3           and a lot of, you know, interests. So you really  
4           want to verify the medical diagnosis at this point.

5           DR. BREYSSE: As we talked before, it's not  
6           inconceivable that in the future we will have a  
7           longitudinal component nested within this that we do  
8           follow some of these people longer term with repeat  
9           measures and so forth. And so we'd hate to set up -  
10          - it would be irresponsible to set up a study that  
11          didn't leave that option open.

12          MR. HARBESON: Well that's part of what I'm --  
13          why I'm asking. I really am appreciative of that.  
14          I know early on at Great Big Kids we got a lot of  
15          questions from parents and one of the biggest  
16          challenges, we didn't have good information to  
17          provide them and so I think that's the greatest  
18          value that can come out of this is a long term  
19          understanding of what the real health effects are so  
20          future generations don't have that issue. So I'm  
21          very grateful for that. Thank you.

22          DR. BREYSSE: So we blew past the break. We  
23          were having such a great discussion. I don't know  
24          how you want to proceed. I'm going to -- we already  
25          had the Pease health consultation update. So the

1 last two things we have are questions from the  
2 audience and CAP concerns. We've heard some CAP  
3 concerns already. Does anybody have a problem with  
4 us just powering forward or do we want to take a  
5 break? So if we're going to power forward, now  
6 there's time for questions from the audience if  
7 anybody would like to come up and raise a question  
8 so there's -- where's the microphone for that?

9 CDR MUTTER: The last chair. They can sit in  
10 the last chair and use that microphone.

11 **QUESTIONS FROM THE AUDIENCE**

12 DR. BREYSSE: Okay. And just introduce  
13 yourself.

14 MS. HAAS: Hi, my name is Kimberly Haas, I'm a  
15 correspondent with the New Hampshire Union Leader in  
16 Manchester. I just wanted to double check the  
17 numbers for the people that'll be tested in this  
18 first group here focused on Pease. It sounded like  
19 there were 2000 adults and 350 children that are  
20 planning to be tested or, that's why I'm asking the  
21 question.

22 DR. BOVE: Our goal, okay, is 350 children from  
23 Pease, so that would be ages four to 17, and 1000  
24 adults.

25 MS. HAAS: One thousand adults.

1 DR. BOVE: From Pease age 18 and over. And  
2 then we would then also recruit -- try to recruit  
3 175 children from the Portsmouth area who were not  
4 exposed to the Pease drinking water and 100 adults.

5 MS. HAAS: One hundred adults.

6 DR. BOVE: Yeah. So we have a smaller number  
7 of reference. We -- the reference are not as  
8 important to us really as the actual Pease children  
9 and adults. So we're going to focus our efforts  
10 recruiting them but we, you know, but these are our  
11 goals. Okay.

12 MS. HAAS: I just wanted to make sure I got my  
13 numbers correct.

14 DR. BOVE: Yeah.

15 MS. HAAS: Thank you, Dr. Bove.

16 MR. CONNERS: Good evening, Ted Connors,  
17 selectman from the town of Newington. Again,  
18 Newington is asking with the wells, you're going to  
19 take the people in and test the people who have  
20 wells. The Federal Register states that eligible  
21 participants may live, work, and attend child care  
22 in Pease or in Pease Tradeport or live in a nearby  
23 home which is served by PFAS contaminant private  
24 wells. And in Newington we have about 40 wells,  
25 there are three or four that are contaminated and

1 quite a few of them have traces of the PFAS in  
2 there. So I'm wondering -- we've been asking all  
3 along if we could be blood tested, if we could get  
4 all of this, where does the town of Newington stand?

5 DR. BOVE: Well as I was saying earlier, we're  
6 going to focus our recruitment on those people who  
7 participated in the Pease biomonitoring. If we  
8 can't reach our goals with that then those who are  
9 eligible for the Pease biomonitoring would be the  
10 next group, who didn't participate but were  
11 eligible. The -- in the protocol it mentions those  
12 who resided and were served by private wells that  
13 would -- that had PFAS levels above the EPA's  
14 current lifetime health advisory which is PFOS plus  
15 PFOA equals 70 parts per trillion. I don't know how  
16 many wells in your community actually have exceeded  
17 that.

18 MR. CONNERS: Four.

19 DR. BOVE: Four.

20 MR. CONNERS: If the ratings are --

21 DR. BOVE: Right. So they, you know, again we  
22 would first -- if we don't reach our recruitment  
23 goals from the Pease biomonitoring participants,  
24 then we, as I said, move to the second wave which  
25 would be those people who are eligible for that

1 program but didn't participate. If we still don't  
2 reach our goals we might open it up beyond that.  
3 But we really hope to reach our goals with the  
4 participants so that we have two data points.

5 MR. CONNERS: This is very frustrating because  
6 in the past year we've been trying to get blood  
7 tested and we got a -- and we get into the spring of  
8 this year and the state of New Hampshire shut down  
9 the blood testing June 30<sup>th</sup> or somewhere along so we  
10 didn't. So we couldn't participate in that. We  
11 haven't been able to participate in anything. All  
12 of the aquifer and everything, all of the water  
13 leads to Newington. So the land, the streams, and a  
14 lot of it are being contaminated but we can't  
15 participate in anything. And we've been told once  
16 this comes out we'll be able to be a player but  
17 looks like we're being shut out again.

18 DR. BREYSSE: I don't think that's what we  
19 said. So that if we don't get our recruitment goals  
20 --

21 MR. CONNERS: I understand if you don't hit  
22 your goals we'll be there, but we're in second  
23 place. We're being treated as second place again.  
24 Thank you.

25 DR. BREYSSE: Any other comments from the

1 audience? All right. Now we have the CAP --

2 DR. CARIGNAN: I'm sorry, this is Courtney.  
3 Can I ask a question really quick just to clarify  
4 that with that comment that we just heard? Because  
5 I'm just not sure. So for the biomonitoring program  
6 was one of the inclusion criteria that you had to be  
7 exposed to the water at Pease so it excluded  
8 Newington?

9 DR. BREYSSE: You're talking about the state  
10 program?

11 DR. CARIGNAN: Yeah.

12 DR. BREYSSE: I would defer to the state to  
13 answer that.

14 DR. CHAN: So the -- So Ben Chan, State  
15 Epidemiologist, Department of Health and Human  
16 Services. So the biomonitoring program that was set  
17 up at Pease beginning back in 2015, there are two  
18 parts of this. There was the formal protocol that  
19 was put out specifying that people to be included  
20 had to have lived, worked, or attended childcare on  
21 the Pease Tradeport. That was the large portion of  
22 the study participants; however, there were also  
23 some private residences -- residences that we had  
24 recognized that border the northern edge of the  
25 Pease Tradeport who had private wells above a

1           certain -- with PFCs or PFAS compounds above a  
2           certain level. Those individuals were included in  
3           the study and had outreach on an individual basis to  
4           invite them, I believe, in the study.

5           DR. BREYSSE: Did that answer your question,  
6           Courtney?

7           DR. CARIGNAN: Yeah. Thank you. Yeah. If the  
8           gentleman who spoke wants to be connected, Laurel,  
9           could you maybe just connect him with us or with  
10          Andrea so that we can just talk with him?

11          DR. SCHAIER: Sure.

12          DR. CARIGNAN: So he's not feeling excluded and  
13          I don't think anybody intended that so (inaudible)  
14          have anyone feel frustrated and not reach out to  
15          them. Thanks.

16          MS. AMICO: Can I ask a question? And I don't  
17          want to put DES on the spot, but I think there's  
18          been some concern that at one point in time  
19          historically water from Pease did supply water to  
20          Newington in a municipal kind of way. Do we know a  
21          time period? You may not even know this off the top  
22          of your head but I don't know if DES knows this.

23          UNIDENTIFIED AUDIENCE MEMBER: I believe it was  
24          about approximately two weeks.

25          MS. AMICO: Two years? Do we know --

1 UNIDENTIFIED AUDIENCE MEMBER: Two weeks.

2 MS. AMICO: Two -- oh, two weeks.

3 UNIDENTIFIED AUDIENCE MEMBER: Yes, Brian Goetz  
4 would be the authority on exactly when that  
5 connection was made but I believe it was made two  
6 weeks before the Haven well was shut down. But  
7 Brian Goetz would be the authority on that.

8 MS. AMICO: Okay. I thought someone had  
9 brought up at a RAB meeting perhaps that there was  
10 back in the '80s perhaps there was water from Pease  
11 that was going to Newington. Maybe I misunderstood  
12 that, but I thought --

13 MR. CONNERS: May I respond to that?

14 MS. AMICO: Do you know -- do you know? Ted, I  
15 think it was --

16 MR. CONNERS: Yeah, I do.

17 MS. AMICO: I think it was Peggy that brought  
18 it up but I don't want to -- I'm just curious --

19 MR. CONNERS: I don't want to belabor this  
20 either but there was contamination in the '80s  
21 because at Dal MacIntyre Brook the foam was this  
22 high coming off the air base from soap and chemicals  
23 that were there and the boss said that nothing  
24 happened. I have a letter at home stating that.  
25 But Scott is correct, there was a short period of

1           time when the Portsmouth Haven well was -- they made  
2           a connection because of some new buildings in town  
3           so it was a very short period of time. My main  
4           concern is the people who have their own wells, most  
5           of them being checked but you know, we would like to  
6           have some of the blood testing and the other stuff  
7           that goes on but we've been shut out all along. I  
8           have, the doctor just said there was some outreach.  
9           I have never been aware of it, I've only been the  
10          selectman for less than two years so I'm not aware  
11          of it but I've had meetings in the town and nobody's  
12          come forward with that and I have not heard anything  
13          on it.

14                 DR. BREYSSE: Sir, there might be some things  
15                 we can do to help you. Can we talk to you  
16                 afterwards?

17                 MR. CONNERS: Any time at all.

18                 DR. BREYSSE: Sure.

19                 MR. CONNERS: Thank you.

20                 DR. BREYSSE: So are there any additional CAP  
21                 concerns at this time? All right. So what do we do  
22                 when it says wrap up?

23                 CDR MUTTER: Oh, are we going to talk about the  
24                 concerns on the agenda? I didn't know if you were  
25                 asking for additional ones.

1 DR. BREYSSE: No. Okay.

2 CDR MUTTER: Sorry.

3 **CAP CONCERNS**

4 DR. BREYSSE: So what is the medical -- what is  
5 the medical monitoring concern?

6 MS. AMICO: So I guess I just -- I feel like I  
7 try and say this at every single meeting that the  
8 community not here -- not only here at Pease but  
9 across the nation would like a more unified medical  
10 monitoring program and I think some people think  
11 that the health studies kind of fall into that same  
12 bucket but they really don't because the health  
13 studies as we've established are going to take years  
14 to get up and running and years to get the data  
15 back. But the people that have been exposed want to  
16 know today what they can do to protect their health.  
17 And we're really looking towards the CDC and ATSDR  
18 and our federal government to help put together a  
19 more comprehensive program that will help guide  
20 physicians because that is something that is lacking  
21 right now. And I wonder that as we're spending  
22 millions of dollars on these studies and we're  
23 collecting data, is there any way to factor medical  
24 monitoring into the studies in any way or have some  
25 type of tool that we can give out to the

1 participants or what not. And I'm also curious, to  
2 piggyback on that is the people that are  
3 participating in the studies, do you plan to somehow  
4 report back any information to their physicians, is  
5 it just to them. You know, how will the  
6 communication with physicians go? But that's, I  
7 guess, kind of a separate question but I just want  
8 to continue to plug the need for medical monitoring,  
9 it's a huge need.

10 DR. BREYSSE: Yeah. So we have, you know,  
11 guidance on our web page about guidance for  
12 clinicians about addressing patient exposure  
13 concerns and we think this represents right now what  
14 the state of the art science is. But as we said  
15 earlier today, we are constantly reevaluating what  
16 we have on our web page and we're in the process of  
17 reevaluating our clinician guidance as well going  
18 forward. And it will be informed by the multi-site  
19 study and the Pease data without a doubt. And in  
20 many ways what we're offering participants in the  
21 study is, you know, high tech medical monitoring.  
22 You know, we're looking at these people, at their  
23 thyroid levels, we're looking at their lipids  
24 levels, we're looking at their kidney function,  
25 we're looking at their liver function. These are

1 all clinical assessments as well. So they are  
2 getting in essence, you know, a very aggressive  
3 medical assessment as part of the study. And when  
4 we see, you know, a consistent pattern about some  
5 endpoint that's associated with exposure that's  
6 consistent with elsewhere that requires some  
7 specific change to our recommendation about medical  
8 surveillance, medical testing, we'll follow up with  
9 that.

10 MS. AMICO: And I think - oh.

11 DR. PAVUK: If I may add to that. So yes, all  
12 participants will be getting results of all their  
13 clinical tests and PFAS measurements. So there are  
14 two parts; the clinical ones is separate from the  
15 PFAS from the exposure. The clinical tests are  
16 really designed, you know, to report and inform, you  
17 know, participants and basically take those forms  
18 and results to review with their physicians. So  
19 there's an accompanying letter that describes the  
20 tests and which ones they are. We're including for  
21 clinical tests the ranges of the normal levels and  
22 the normal levels that are available for different,  
23 you know, age groups and things like that. So there  
24 is a sort of document that they can take to their  
25 primary care physician or other medical provider and

1 review those results. Of course there are caveats  
2 in these type of studies that they usually -- they  
3 will get this type of letters and results months  
4 after their study exams after the visit at our study  
5 office. We are not able to provide them, you know,  
6 it's not the same like you visiting your physician  
7 that you're getting your results next week or in two  
8 weeks' time. So there's this big lag that we are  
9 not necessarily are able, you know, predetermine  
10 like how quickly that can happen because we have to  
11 send those results basically to get it depending on  
12 laboratory work and when everything gets finished  
13 and when all quality control and data happens.  
14 However, when we do get results from clinical tests  
15 there's a special reporting of abnormally high  
16 results which is a special category that they'll try  
17 to record those. This is a fast kind of reporting  
18 script on special categories of certain, you know,  
19 clinical parameters. So those will be reported if  
20 over certain levels once we get the results from the  
21 lab they'll try to contact the -- to contact  
22 participants at that point. So there'll be no  
23 waiting with those for the final, you know, sending  
24 a results letters at the very end. So we do have  
25 fast reporting scripts for some clinical parameters

1           where this is available. But even with those there  
2           may be months delay. So a lot of that usually is  
3           addressed if you do have your clinical, you know,  
4           medical provider probably, you know, is old news to  
5           you but we'll still be reporting those as soon as we  
6           get those results. So there is special category if  
7           you have very high lipid levels, if you have very  
8           high albumin, if you have very high glucose over,  
9           you know, I don't know on top of my head what the  
10          cut off there is, but if you have very high levels  
11          they'll report on about six different conditions.

12           MS. AMICO: Okay.

13           DR. PAVUK: Clinical tests.

14           MS. AMICO: Okay. So I guess just to go back  
15          to that though, Pat you said like these people that  
16          are participating in the study are getting very, you  
17          know, specialized medical monitoring, but what about  
18          the people that aren't? You know, I think that's  
19          the concern is what can people do today in the  
20          absence of no study that has started yet and decades  
21          of exposure or years of exposure with high levels in  
22          their body with physicians that don't quite  
23          understand what these chemicals are and this ambig -  
24          - you know, ambiguousness around the science. What  
25          can people do today to protect their health, to

1 monitor their health, to try and look for any  
2 adverse health effects and, you know, try and, you  
3 know, catch something early or prevent disease or  
4 whatnot. So I think that's what we're missing and I  
5 just want to continue to stress that at every  
6 meeting, you know. And I understand, I know the  
7 physician fact sheet has evolved over time and it  
8 has updated over time but I just want ATSDR to hear  
9 that it's not meeting the needs of the community at  
10 this time and we need to continue to make it a  
11 priority, we need to continue to work on it and when  
12 we have these ten million dollars in two different  
13 installments coming in, is there any way to try and  
14 focus more time on that. And the other question I  
15 had was I've heard you, ATSDR, say several times  
16 that there's a lot of studies coming in every week  
17 on PFAS, it's hard to even keep up on them. But do  
18 you have a -- is there a dedicated person that is  
19 reviewing these studies so we make sure that we are  
20 looking at the latest and greatest science. If  
21 there is so much coming in, who is policing that,  
22 who's keeping track of that so these recommendations  
23 are real time?

24 DR. BREYSSE: So we get emails from somebody  
25 who collects all the published studies and send them

1           around to like 30 or 40 of us. I get them, Frank  
2           gets them, Marian gets them. I look at them every  
3           week, they look at them every week, we talk about  
4           them if we think there's something interesting  
5           coming forth as well. So you know, it's -- I'm  
6           sorry, it's our responsibility to stay on top of the  
7           science as we pursue this going forward because  
8           there could be something interesting that comes out  
9           that might change something or add something to what  
10          we want to do.

11                 MS. AMICO: Okay. That's it in terms of  
12          medical monitoring. I don't know if you want to go  
13          on to the next item.

14                 DR. BREYSSE: Sure.

15                 MS. AMICO: So the next item was the Pease Air  
16          National Guard increased rates of cancer. So I had  
17          forwarded along a couple of articles but we had some  
18          recent articles in our local paper here about  
19          members of the Air National Guard at Pease both  
20          former and current talking about rates of cancer and  
21          having concerns about that and I just wanted to make  
22          sure that ATSDR was aware of that. And then also  
23          just inquire more about, you know, what can we  
24          expect in terms of what ATSDR may do now or in the  
25          future in terms of looking at rates of cancer among

1 military populations.

2 DR. BREYSSE: So cancer at any point is  
3 something that's as I said before, it's on our  
4 horizon for how we want to look at it, not just in  
5 air force personnel but in communities around with  
6 contaminated water as well. So that's all on our  
7 horizon in terms of a combined effort. But we get  
8 asked to assist states all the time in smaller  
9 cancer cluster concerns as well and we're prepared  
10 to assist, if we get asked to assist in  
11 investigations of cancer cluster here, whether it's  
12 at Pease or somewhere else. We probably get, you  
13 know, a dozen requests every year for cancer cluster  
14 investigations across the country for different  
15 types of cancer at different sites, different things  
16 and stuff. So the normal role for us is to come in  
17 and assist the state in that regard. We don't have  
18 a mandate to come in and look at it independently,  
19 so we're prepared to assist if we get asked.

20 MS. AMICO: Uh-huh. So how would that work in  
21 terms of if there's members from the Air National  
22 Guard at Pease they could initiate a consultation  
23 through ATSDR but they would need to start with the  
24 state of New Hampshire, even though it's Air  
25 National Guard?

1 DR. BREYSSE: Why would it matter that it's the  
2 Air National Guard, these are residents of the  
3 state?

4 MS. AMICO: I --

5 DR. BREYSSE: Or is it --

6 MS. AMICO: I guess. Yeah. I don't know.  
7 It's just this is a population that has come forward  
8 about it the most recent month or so to talk more  
9 about the high rates of cancer that they're  
10 experiencing amongst themselves and so if these  
11 folks have a concern and they want it to be looked  
12 into in more detail, what should they do?

13 DR. BREYSSE: We could talk to Dr. Chan about  
14 how that might proceed.

15 MS. AMICO: Okay.

16 CAPT SOMERS: Just like quickly, so yeah, I was  
17 contacted too by one of the Air National Guard folks  
18 that works there and I had a conversation with him  
19 about what we are doing for ATSDR for Pease so  
20 they're aware of the health consultations we're  
21 doing and the multi-site study. And also he's been  
22 linked in with the state with one of the cancer  
23 epidemiologists there so I think there will be an  
24 effort for the National Guard Command to talk about  
25 this within that arena. I can't speak for the

1 National Guard, I won't speak for them, obviously,  
2 but I think they're aware that there's this concern  
3 and they're, you know, trying to reach out to the  
4 appropriate folks to get some information on this  
5 for their members. It's a little bit challenging  
6 with cancer, because as you know like from the state  
7 cancer registries they're -- the state cancer  
8 registries, when you're diagnosed with cancer it  
9 notes where you were living at the time of diagnosis  
10 but it doesn't contain -- most state cancer  
11 registries contain nothing about like past  
12 occupational exposure or, you know, where you lived  
13 20 years ago. So it's a bit challenging to look at  
14 a population like a National Guard population that  
15 doesn't live in that, you know, like they're not  
16 living like right in that commun -- right there.  
17 They could be living lots of different places. So  
18 when you look at the cancer registry it'd be really  
19 hard to pull out those folks. So I think it's --

20 MS. AMICO: is there another way to capture  
21 that data besides just looking at a cancer registry?

22 CAPT SOMERS: That's a good question. I think  
23 that's why the chronic disease and cancer  
24 epidemiologists are having that discussion with --  
25 also with the National Guard.

1 MS. AMICO: Okay.

2 CAPT SOMERS: So I think it's on people's  
3 radar.

4 MS. AMICO: Okay.

5 CAPT SOMERS: Again, I don't want to speak for  
6 them 'cause... I thought someone may be coming  
7 tonight fr... Dr. Chans (sic) is here too but I  
8 thought someone from -- they said someone from them  
9 might come, I don't know if they're here though.

10 DR. BOVE: If they could determine who, you  
11 know, get an -- like I have at Camp Lejeune, a  
12 cohort. If we can identify those people who were at  
13 the base over time from personnel records. You have  
14 social security number, you have full name, you have  
15 date of birth, that's enough information for you to  
16 do matching, which is what we're going to do with  
17 the Camp Lejeune study. And so if you can get that  
18 information, if the Air National Guard was  
19 interested in doing something like this, I mean it  
20 is possible then to -- and if it's a large enough  
21 group so that you can do something meaningful. I  
22 mean, if it's a small group it's going to be hard to  
23 interpret the data because you only have a few  
24 cancers and you don't know what, you know --

25 MS. AMICO: What do you consider a large enough

1 group? Like I read in the articles they have about  
2 62 people that they know among their group with  
3 different types of cancer. Is that considered a --  
4 I don't know what you --

5 DR. BOVE: No, no, I meant the cohort itself  
6 being large.

7 MS. AMICO: Oh, I see. Okay.

8 DR. BOVE: Because if it's not large you will  
9 have a small number of cancers and particular there  
10 may be 62 cancers but how many are particular. I  
11 mean, in other words we do know or there is some  
12 evidence, for example, for kidney cancer, for  
13 prostate cancer, and from animal data at least,  
14 testicular cancer. If you saw 60 some kidney  
15 cancers that would be something, but if you see two  
16 then it's going to be hard to interpret, that's all  
17 I'm saying.

18 MS. AMICO: Okay.

19 DR. BOVE: So if you have a large enough  
20 population to study, like at Camp Lejeune I have  
21 hundreds of thousands, then I'm going to have  
22 sufficient numbers of at least some cancers to be  
23 able to interpret it. So it really would depend on  
24 how much data. First of all it would depend on  
25 whether the Air National Guard was interested in

1           doing this at all, I mean, that's the first thing.  
2           And that there'll be certain hoops that have to be,  
3           including IRB hoops and so on. I mean, it would be  
4           a study then. So you know, it's --

5           DR. CHAN: So that's getting, I think, a little  
6           ahead of things. We're -- so Tarah Somers is  
7           correct, our cancer program and chronic disease  
8           epidemiologist has been in contact with the, I  
9           believe the health officer for the Air National  
10          Guard and we're actually discussing and clarifying  
11          what exactly the questions are. My understanding is  
12          that the concern was not purely around PFAS exposure  
13          in drinking water but that it was a broader concern  
14          about environmental or excuse me, occupational  
15          exposures in general. I've certainly seen, you  
16          know, some of the news stories around this and  
17          people concerned about multiple different types of  
18          exposures. And so our program is in communication  
19          with the Air National Guard, we've been talking with  
20          Tarah Somers as well to clarify first what the  
21          questions are and then to look at how we might use  
22          the data that we have on hand to be able to look  
23          more into the questions and the concerns. As  
24          mentioned, it does become a little bit challenging  
25          because the cancer registry data, we have a very

1 good cancer registry, a very complete cancer  
2 registry, but oftentimes we look at place of  
3 residence and so we don't have necessarily complete  
4 or full data about occupational exposures and so it  
5 becomes a little bit tricky. But we're trying to --  
6 we're looking into teasing some of these different  
7 aspects and questions out to see how we can address  
8 the concerns.

9 MS. AMICO: And are you folks interacting with  
10 anyone from the impacted community? You're talking  
11 about the Air National Guard health officer, but has  
12 anyone been in touch with actual members of the Air  
13 National Guard or their widows or, you know, people  
14 like actual community members? Because that's a  
15 critical piece of, I think, identifying questions is  
16 talking to the actual impacted people.

17 DR. CHAN: That's a good point and thank you  
18 for that comment. So far we've been in  
19 communication with some of the military personnel  
20 and the public health officer for the Air National  
21 Guard but not any of the individual members.

22 MS. AMICO: Okay. I would strongly advocate  
23 that you somehow have a mechanism for them because I  
24 think what we learned from Pease when we initially  
25 don't include the community it breaks down trust and

1 we don't always come up with the best plans. So if  
2 I can strongly advocate. I know I have a couple  
3 contacts in the affected community that I think  
4 would be willing to participate in a proc -- or even  
5 a discussion with the folks coming up with the plan  
6 that would be a critical stakeholder that we should  
7 include in the process.

8 DR. CHAN: Thank you.

9 MS. AMICO: Thanks. I have two statements from  
10 people that couldn't be here tonight but they did --  
11 around this issue that they wanted me to read, so  
12 would this be an appropriate time to read that?

13 Okay. So one is shorter than the other, I'll  
14 start with the shorter one.

15 (Reading) Hello Andrea, I was stationed at  
16 Pease Air Force Base as a security police officer  
17 from April 1997 until November 1990. I'm wondering  
18 if that's a typo. During my tour the base was part  
19 of the strategic air command and it was a priority A  
20 nuclear alert base. My daily duty assignments kept  
21 me in close proximity to aircraft which were armed  
22 with various weapons and buildings that stored  
23 nuclear weapons and materials. During my time at  
24 the base I lived in a base dormitory full time. In  
25 regards to health issues while stationed on base I

1           only recall at some point suffering from bleeding  
2           gums consistently, something I had not experienced  
3           prior to residing on base. I separated from active  
4           duty in November 1990 after the base priority  
5           changed to non-nuclear. I was given the opportunity  
6           to be re-stationed and have my AFSC changed or  
7           separate honorably. I decided to separate and begin  
8           my law enforcement career and married Donna. In the  
9           spring of 2011 a tumor was discovered on one of my  
10          testicles. I sought medical attention at which time  
11          I was diagnosed with Stage 1 seminoma testicular  
12          cancer. I elected to have the tumor surgically  
13          removed within days of being diagnosed. To my  
14          knowledge there was no history of such cancer in my  
15          immediate family. I had consistently clear CAT  
16          scans until the winter of 2014 when my scans  
17          revealed the cancer had returned as Stage 2 in my  
18          lymph nodes. The best course of treatment was  
19          determined for me to begin an aggressive form of  
20          chemotherapy which ended in March of 2015. Since  
21          then I have had clear scans. I'm hoping that by  
22          sharing my story it will assist past and current  
23          military personnel. If you need any additional  
24          information, please feel free to contact me and  
25          Donna. Sincerely, Michael Coroluzo (ph). And he

1 lives in St. Augustine, Florida.

2 The next statement I have is from a woman that  
3 was featured in the first article about the Air  
4 National Guard. Her name is Doris Brock. She could  
5 not be here tonight; she lives in Colebrook, New  
6 Hampshire.

7 (Reading) Dear Andrea and CAP members, short  
8 bio about Kendall and Doris Brock. I began a battle  
9 with the VA in the late 2015. I am one of a general  
10 population that read in the newspaper or saw the  
11 news about the contamination of the wells at Pease.  
12 My first response was that this was terrible and I  
13 would go along living my life with my husband in the  
14 North Country; that is, until it became real for us.

15 My husband grew up in Candia, New Hampshire,  
16 and we were married for 46 years. We spent our  
17 married life in Candia until we moved to the North  
18 Country in 2012. We were looking forward to growing  
19 old together. Every day we woke up in a postcard,  
20 we made new friends in the North Country and we have  
21 many old friends. Kendall loved his new home and  
22 location, especially since retiring. I had my own  
23 small business and continued to work until Kendall  
24 became ill. I retired at the end of 2015 to become  
25 his fulltime caregiver. We remodeled our home with

1 the idea that we would grow old together in this  
2 home, not realizing that all the senior living  
3 amenities were going to be used so soon. We were a  
4 young couple when we were married, I am now 65 and  
5 Kendall was 67. We have two children, seven  
6 grandchildren and two great grandchildren and we  
7 love them all very much.

8 I, Doris, am the spouse of retired, deceased,  
9 Chief Master Sergeant Kendall W. Brock who died on  
10 June 30<sup>th</sup>, 2017 from Stage 4 bladder prostate cancer  
11 at only 67 years of age. He was a career New  
12 Hampshire Air National Guard member who retired  
13 after 35 years of service at Pease Air Force Base,  
14 now the Pease International Tradeport. The VA  
15 denied my husband's claim for disability, stating  
16 his illness is not work related, did not happen  
17 while employed, or within one year of retirement and  
18 is not tuberculosis. My fight with the VA is not  
19 your fight, but his story is related to your fight.  
20 I am compelled to share with you the importance of  
21 knowing what has happened to our military and career  
22 Guards men and women. It is directly related to  
23 your fight regarding the contamination in the  
24 groundwater in and around Pease.

25 The contamination at Pease did not rear its

1 ugly outcome while these men and women were employed  
2 and active at the base, while others were not  
3 affected until after retirement. There is some  
4 expectations where individuals were ill while  
5 active. I have a list of 70 people, all Guards men  
6 and women, that have had cancers and 40 of the 70  
7 are dead.

8 What did I think of this? I was and am  
9 frustrated and extremely angry. This, in my  
10 opinion, is a high number for the few many people we  
11 know. The remaining 30 Guards men and women,  
12 whether retired or actively working today, may have  
13 survived their cancers as they are in remission  
14 while others are fighting their cancers today. Was  
15 there any study done? Did the air force know and  
16 ignore, hoping we would go away? I have heard that  
17 there were scattered studies or concerns over the  
18 years but I do not know the answer to these  
19 questions.

20 All 70 people having cancers are organ type  
21 cancers. They include kidney, liver, bladder,  
22 pancreatic, prostate, breast. Some of these people  
23 died very young while on the job while a good number  
24 died after retiring from the Air Guard, but less  
25 than 70 years of age.

1           With all the publicity and documentation  
2           regarding the Pease contamination, I do not see much  
3           written or videotaped about the Air National Guard  
4           bomb squad and the families that are suffering or  
5           that have suffered losses. We are the grieving and  
6           the forgotten population. I do not even know how  
7           many families are affected that were in the Air  
8           Force that left in the 1990s or the remaining New  
9           Hampshire Air National Guard population that I did  
10          not know.

11           I have read in some of the meeting notes small  
12          bits of information regarding our service members.  
13          There is not enough being done to gather information  
14          about our service men and women. I believe this  
15          history of our men and women is important to all of  
16          you.

17           I find it interesting that when I first started  
18          this fight that when you ask questions our  
19          politicians tried to be sympathetic and then you  
20          mention Pease and their look totally changed to oh  
21          no, she is going to ask. But I will say that  
22          Senator Ayotte and Senator Shaheen have been helpful  
23          in getting me to the right people or keeping me in  
24          the loop for any new legislation. This is not  
25          helping me with the VA. That is a separate fight

1           and one that I am actively going after today for all  
2           the men and women who served. It is very  
3           frustrating to learn of the process to get help from  
4           the general population. It amazes me how many  
5           people you talk to that if not affected don't really  
6           care. I received an email from Senator Shaheen's  
7           office that lead me to Andrea Amico. I spent some  
8           time reading the last CAP meeting notes from May  
9           2018. I was taken in by the comments in this  
10          meeting and I felt compelled to reach out to Andrea.  
11          I knew about Andrea from news articles over a year  
12          ago. I could not reach out to her then as I was  
13          burying my husband and had to deal with my own  
14          breast cancer. I had surgery two weeks after his  
15          death. I also thought I could not continue the  
16          fight. My husband's death and others, the fight  
17          consumes you every waking moment and I wanted to  
18          quit. There is not a day that goes by that I am not  
19          thinking of my husband and the life we were to have  
20          together. We, the surviving spouses of significant  
21          others are angry and frustrated and more important,  
22          we are scared for the people who have been exposed  
23          to these wells or any other ground contaminate. I  
24          am personally thankful and blessed that Andrea Amico  
25          agreed to reach out to me. Senator Shaheen is my

1 current contact with the government. She has  
2 introduced legislation that addresses the beginning  
3 of cleaning up the water contamination, as you know,  
4 but I want to make a statement to the population of  
5 what may be ahead for them. Today no one can tell  
6 you the contamination will or will not affect our  
7 children and our sea coast residents. In my  
8 opinion, it affects the residents of all surrounding  
9 towns including Hampton, Greenland, Rye. I want  
10 everyone to be aware that the dangers of this  
11 exposure are a problem in the long term.

12 No one wants to say that the well contamination  
13 is going to affect you. They became contaminated  
14 from more than firefighting foam, chemicals used by  
15 the Air Force and the Air National Guard to clean  
16 airplane parts, vehicles, and other equipment have  
17 been used and absorbed in your ground water,  
18 absorbed into the skin by the men and women who  
19 worked with these chemicals and through the air by  
20 breathing these chemicals. JP4 and JP5 jet fuels  
21 are known carcinogens and my husband worked in  
22 petroleum oils and lubricants for several years  
23 before going to aircraft maintenance. While in  
24 maintenance he worked with these solvents and  
25 chemicals which are listed on the ATSDR website as

1 known carcinogens.

2 As an example of this in the New Hampshire Air  
3 National Guard was exposed to PD680 degreasing  
4 solvents that was used to spray on aircraft during  
5 washes. They used protective face masks, however,  
6 those fumes could be absorbed through the skin and  
7 through the breathing of the vapors that were  
8 sprayed under pressure. They also had a solvent  
9 PD680 parts wash tank that personnel stored over  
10 many times to clean grease from aircraft parts such  
11 as filters, wheel bearings, et cetera. Just  
12 standing over the tank as they brushed the parts  
13 clean was enough to inhale the solvent. They had  
14 rubber gloves and face shields but were still  
15 breathing the fumes. It is safe to assume that if  
16 they were spraying the solvent or dipping parts in  
17 the solvent that there was overspray or spillage  
18 over many years contaminating our ground water.  
19 Yes, and this is only one of many chemical  
20 compounds. I found using Google Search reputable  
21 sites where this cleaning compound was used in the  
22 dry cleaning business and you will find a huge  
23 number of class action lawsuits and more important  
24 the number of people that died from cancer,  
25 specifically organ cancers.

1           The New Hampshire Air National Guard used the  
2 following solvents, cleaning compounds, petroleum  
3 products at the 157<sup>th</sup> Air National Guard phase  
4 inspection dock, and I know the 509<sup>th</sup> air force used  
5 the same. The list of chemicals used on any airbase  
6 in the U.S. or internationally at our bases oversea.  
7 And she lists trichloroethylene, JP4, hydraulic  
8 fluid, Mil H5606, jet engine oil, Mil L7808, Mil  
9 PRF680, naphtha, benzene, acetone, methyl, ethyl,  
10 ketone, toluene, glycol zinc chromate and xylene.

11           All these can be found on the ATSDR website,  
12 interesting reading. The government was aware of  
13 the danger of these chemicals but is just now  
14 publicly recognizing the issues with our ground  
15 water. In my research I found documents going back  
16 to 1997 from the DOD that lists the contaminated  
17 bases. There are also documents showing concerns  
18 going back to 1967. Yet again, we do not read or  
19 hear of anything publicly concerning the illnesses  
20 in our armed services.

21           I am frustrated as you should be and probably  
22 are. I understand we need to have a study and data  
23 to support any activity to document and report on  
24 the contamination. What happens to the study and  
25 data when we elect other officials in the

1 government? What happens to the funding needed to  
2 get this done? I had to restart my fight with  
3 Senator Ayotte when she lost her seat. It was as if  
4 I had done nothing for two years. All I had  
5 accomplished did not mean anything. It is then that  
6 I contacted Senator Shaheen's office and began the  
7 process all over again. Therefore, my frustration,  
8 outrage, and sadness at how our government works.

9 I received information from a friend that  
10 discusses the long wait and see periods from  
11 exposure to jet fuels. This was a study that listed  
12 the results in April 2014. The cancers were organ  
13 cancers and were invasive cancers both small cell  
14 and non-small cell. This data was an age study and  
15 the average age of air force members was 55 to 70.  
16 Is this not something to worry about since these men  
17 and women began serving our country at an early age?  
18 I am expecting and fearful that there will be long  
19 wait and see periods from this contamination as  
20 well. I am frightened for your children and you.  
21 There are 126 bases on the list of Superfund sites.  
22 Pease is one of the bases, yet I have only read that  
23 Camp Lejeune -- Camp Lejeune has accepted grant VA  
24 disability based on presumptive diseases, the exact  
25 same illnesses I listed above.

1           There are articles that appear on ABC news, CBS  
2 news and other newspapers and online publications.  
3 These articles express concerns for low birth  
4 weights and fertility organ cancers and the list go  
5 on, yet they seem to be ignored by our government.  
6 If not ignored, are they delaying or waiting for our  
7 retired active population to die? I could go on  
8 forever but will close with other interesting facts.  
9 New Hampshire has the highest bladder cancer rates  
10 in the country, the highest rate of children with  
11 cancers. Most recently an article about childhood  
12 brain cancers. I read the articles and news reports  
13 on the cancer clusters over the last two years,  
14 conclusion is that these are not related to anything  
15 specific and we just don't know. And what I heard  
16 on the news was that these concerns did not result  
17 in identifying a cancer cluster. I ask you, are  
18 these illnesses simply coincidence? I will close  
19 with the fact that each day is a -- is beautiful  
20 here in Coos County, though I am not without my best  
21 friend. I will do whatever I can to help. My plan  
22 is to begin attending the meetings when available.  
23 I was not able to attend this meeting and Andrea is  
24 reading my statement to you. We can -- we can be  
25 still or silent on this matter. Our futures depend

1 on the gathering data, acting on this data,  
2 enlightening our population about this ground  
3 contamination and being diligent and fighting for  
4 our children. We need to address the need to study  
5 the deaths of our service men and women and those  
6 that are still with us and those who are not ill  
7 today. We can learn from this and share with all of  
8 you. For those on the seacoast or those who have  
9 moved away, the wait and see periods related to this  
10 contamination are long, the cancers and illnesses  
11 develop from five to thirty years after having been  
12 exposed. Please talk to people around you, get them  
13 involved, get them to understand the potential  
14 dangers. This population should be afraid for their  
15 children and their future. We need to educate,  
16 create a loud voice and act now. Respectfully  
17 submitted, Doris Brock.

18 DR. BREYSSE: Can you send me copies of those  
19 two letters?

20 MS. AMICO: Uh-huh.

21 DR. BREYSSE: Thank you. That's powerful. So  
22 we'll continue to see what we can help sort out  
23 about the cancers going forward.

24 MS. AMICO: Thank you.

25 DR. BREYSSE: Any other issues or comments?

1 We're a little bit early, but not when you figure we  
2 didn't take a break, but...

3 MR. LAUDER: If I would be able to say  
4 something? My name is Ken Lauder. I also  
5 (inaudible). I'm retired Air Force here at Pease in  
6 '90.

7 I've been back here with PanAm for five years  
8 on the same base. When I came back in 1999 there  
9 was papers that were taped to the water fountains  
10 and they had a skull and crossbones on them telling  
11 us don't drink the water. I was here for five years  
12 with PanAm. I left, I came back again, I was a  
13 security manager with the New Hampshire Air Guard  
14 for the past five years from 2014 to 2016 (inaudible)  
15 Stage 3 in 2017. They gave me three to five years  
16 even if the chemo didn't work. They hit me with  
17 everything they could, full strength of the chemo  
18 and the whole bit and as of about the time I wrote  
19 Andrea a letter they tell me right now I'm good. So  
20 I worked with Chief Brock, all the chemicals they  
21 mentioned we had. We had stainless steel tanks in  
22 PD680 we used all the time in that hangar when I was  
23 in the Air Force and the air guard. Like she  
24 said, we washed the parts. I'm not going to go  
25 through all that again. It's all covered by what we

1 did (inaudible) fuel, the whole bit, everything.  
2 Air guard tanker exploded down there in 1990; I was  
3 the chief investigator on that. I was in  
4 (inaudible) quality assurance at the time. We were  
5 wading through foam up to our knees. Years later  
6 when I was with the air guard the fire department  
7 asked me if I still had the pictures, they wanted  
8 the pictures of how much foam was on the ground. I  
9 thought they wanted them for training purposes for  
10 the fire fighting. They wanted to know how much  
11 foam got washed down the drains. So I gave them.

12 J.D., another gentleman I flew with, worked  
13 with, is now gone, pancreatic cancer. It's a  
14 serious issue. I'm lucky so far, I'm still here.  
15 I'm fighting, I know a lot of guys that are, and it  
16 does seem to get shoved right under the rug. All  
17 the VA wanted to talk to me about was Agent Orange.  
18 I flew on B52s, we didn't carry Agent Orange on  
19 B52s. It had nothing to do with Agent Orange.  
20 That's all they wanted to ask me about was Agent  
21 Orange. They didn't care about lymphoma, they just  
22 wanted to know if I had exposure to Agent Orange.  
23 But I know a lot of guys that are probably in the  
24 same boat I'm in right now. I'll be 70 years old  
25 here next month so, I mean, you know I wasn't -- I

1 got through this so far. Scared me to death when I  
2 feel I'm doing a job and next thing they say I go  
3 for my numbness in my arm, you've got Stage 3  
4 lymphoma, you have about three years maybe. That's  
5 how it was put to me, you know. Like I said, so far  
6 I'm lucky, but please take this serious. It's  
7 serious. These guys -- I did 24 years, I mean, we  
8 did (inaudible) for this country and we were up to  
9 this over our neck doing our job just every day and  
10 now, like I said, Chief Brock wants to retire and  
11 their grandchildren. Nothing we can do about it,  
12 the VA, that's our problem, you know.

13 It was your job, you chose to do it. That's  
14 true, we did. I enlisted, I didn't get drafted but  
15 I did my job and so did these other (inaudible).  
16 Please investigate it, check it out for these  
17 people. That's all I have. Thank you.

18 DR. BREYSSE: Thank you. Anybody else?

19 MS. AMICO: There's the woman in the back.

20 CDR MUTTER: She can come to the microphone.

21 DR. BREYSSE: Can you come up to the  
22 microphone, ma'am, so we can hear you?

23 MS. EATON: You were just speaking about Chief  
24 Eaton. I'm Chief Eaton's widow. I'm Nancy and I'm  
25 just going to read a small little snippet that I

1 prepared.

2 My name's Nancy Eaton, I'm the widow of Chief  
3 Master Sergeant David L. Eaton, a veteran of Vietnam  
4 Persian Gulf and Rocky Freedom serving in the U.S.  
5 Air Force for 40.7 years with the 157<sup>th</sup> Air Refueling  
6 with Pease Air National Guard Base here in  
7 Newington.

8 My husband was healthy all his life until his  
9 life was cut short three months after turning 63. I  
10 was suddenly a widow at 60 and our kids lost their  
11 dad at 26 and 29. My son's a police officer and my  
12 daughter's a teacher. David's pancreatic cancer  
13 with metastasis to the liver probably grew slowly  
14 for up to 20 years, the symptoms, you don't have any  
15 till it's too late. He worked with the Guard since  
16 he was 19, first as a weekend citizen soldier and in  
17 1970 became a federal worker. He was regularly  
18 exposed to chemicals and x-rays on a daily basis as  
19 an airplane mechanic. He also drank the water daily  
20 in coffee and believed the exposure very well may  
21 have contributed to his cancer.

22 At the time of his death on October 5<sup>th</sup>, 2012,  
23 the survival rate was seven percent, now almost six  
24 years later, it's nine percent.

25 My husband originally worked as a mechanic on

1 airplanes and on flight line and also quality  
2 assurance. He was the supervisor in both sections.  
3 In October 2004 David retired from civil service,  
4 continuing in the military as Wing Command Chief of  
5 New Hampshire Air National Guard. He was the  
6 liaison between enlisted and military officers. He  
7 was very good at what he did and was known all over  
8 the country for his mentorship. He was the ultimate  
9 American airman who found his security and niche in  
10 the military. He loved every second of the over 40  
11 years that he proudly served his country and we're  
12 very proud of him. Unfortunately, my husband and I  
13 never had the chance to retire together, take a  
14 couple of trips, nor build our retirement home. You  
15 never get over this, you simply learn to live within  
16 the pain. Sadly, my kids will miss their dad a lot  
17 longer than I will. My husband saw the loss of  
18 several of his comrades due to various types of  
19 cancer. I do remember a couple with brain tumors,  
20 lung cancer, cancer of the mouth, jaw, breast  
21 cancer, and many more. There have been some that  
22 have survived and surely they fear its return. I  
23 knew Ken Brock since I was a young girl in my 20s.  
24 I worked in the medical field and he brought his  
25 grandfather in. He was a lot like my husband David,

1           knew his business and was a straightforward person.  
2           I'm saddened by his loss and I commend his widow,  
3           Doris, for questioning why this has happened to our  
4           valued servicemen. Chief Eaton and Chief Brock  
5           served their country without question, never  
6           thinking their lives could be cut short due to  
7           carcinogens on the job. Our families deserve  
8           answers as well as preventing this from happening  
9           again. Thank you for allowing me to speak.

10           DR. BREYSSE: Thank you, ma'am. Can I get a  
11           copy of that, please?

12           MS. EATON: Sure.

13           DR. BREYSSE: Anybody else have anything to  
14           add? Thank you, we'll adjourn.

15  
16           (Proceedings concluded 8:35 p.m.)  
17  
18  
19  
20  
21  
22  
23  
24  
25

1

**CERTIFICATE OF COURT REPORTER****STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Master Court Reporter, do hereby certify that I reported the above and foregoing on the day of Sept 20, 2018; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 22nd day of Oct, 2018.

Steven Ray Green, CCR

**STEVEN RAY GREEN, CCR, CVR-CM, PNSC**

**CERTIFIED MERIT COURT REPORTER**

**CERTIFICATE NUMBER: A-2102**