

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

THIRTY-SEVENTH MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

April 12, 2017

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at the ATSDR, Chamblee Building 106,
Conference Room B, Atlanta, Georgia, on
April 12, 2017.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

April 12, 2017

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS DR. PATRICK BREYSSE	5
VA UPDATES MR. BRAD FLOHR, DR. LOREN ERICKSON, DR. ALAN DINESMAN, MR. BRADY WHITE	9
ACTION ITEMS FROM PREVIOUS CAP MEETING CDR JAMIE MUTTER	69
PUBLIC HEALTH ASSESSMENT UPDATES SOIL VAPOR INTRUSION MR. RICK GILLIG, LCDR CHRIS FLETCHER, LT. JAMES GOOCH	74
UPDATES ON HEALTH STUDIES MS. PERRI RUCKART	110
CAP MEETING OFFSITE LOCATION DISCUSSION CDR JAMIE MUTTER	112
CAP UPDATES AND COMMUNITY CONCERNS CAP MEMBERS	122
COURT REPORTER'S CERTIFICATE	156

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

ASHEY, MIKE, CAP
BLOSSOM, DR. SARAH, TECHNICAL ADVISOR
BOVE, DR. FRANK, ATSDR
BREYSSE, DR. PAT, NCEH/ATSDR
CORAZZA, DANIELLE, CAP MEMBER
DINESMAN, ALAN, VA
ENSMINGER, JERRY, CAP MEMBER
ERICKSON, LOREN, VA
FLETCHER, CHRIS, ATSDR
FLOHR, BRAD, VA
FORREST, MELISSA, NAVY AND MARINE CORPS PUBLIC HEALTH
CENTER
GILLIG, RICHARD, ATSDR
HODORE, BERNARD, CAP MEMBER
MCNEIL, JOHN, CAP MEMBER
MUTTER, JAMIE, ATSDR
ORRIS, CHRISTOPHER, CAP MEMBER
PARTAIN, MIKE, COMMUNITY MEMBER
RUCKART, PERRI, ATSDR
TEMPLETON, TIM, CAP MEMBER
UNTERBERG, CRAIG, CAP MEMBER
WHITE, BRADY, VA
WILKINS, KEVIN, CAP MEMBER

1 So with those brief comments why don't we go
2 around the room and introduce ourselves for the
3 record. Oh, silence all cell phones, please, and
4 try and keep your focus on the meeting, if possible.
5 I'm going to have to 'cause I've just noticed my
6 iPad only has three percent battery. So Bernard, if
7 you wouldn't mind starting?

8 **MR. HODORE:** Bernard Hodore, CAP member.

9 **MR. WILKINS:** Kevin Wilkins, CAP member.

10 **MR. TEMPLETON:** Tim Templeton, CAP member.

11 **MR. ENSMINGER:** Jerry Ensminger, CAP.

12 **MR. PARTAIN:** Mike Partain, CAP.

13 **MR. ASHEY:** Mike Ashey, with CAP.

14 **MS. CORAZZA:** Danielle Corazza, CAP.

15 **DR. BLOSSOM:** Sarah Blossom, technical advisor,
16 CAP.

17 **DR. BREYSSE:** Patrick Breysse, Director of the
18 ATSDR.

19 **MS. RUCKART:** Perri Ruckart, ATSDR.

20 **DR. BOVE:** Frank Bove, ATSDR.

21 **MR. GILLIG:** Rick Gillig, ATSDR.

22 **MS. MUTTER:** Jamie Mutter, ATSDR.

23 **DR. DINESMAN:** Alan Dinesman, VA.

24 **DR. ERICKSON:** Loren Erickson, VA.

25 **MR. WHITE:** Brady White, with the VA.

1 **MR. FLOHR:** Brad Flohr, VA.

2 **MS. FORREST:** Melissa Forrest, Department of
3 Navy.

4 **MR. PARTAIN:** And Dr. Breysse, I heard a
5 comment from the viewers online saying the volume is
6 too low.

7 **DR. BREYSSE:** Can somebody help us with the
8 volume for the viewers online, please? Chris, we
9 went by already. Want to introduce yourself real
10 quick?

11 **MR. ORRIS:** Good morning. I'm Chris Orris, CAP
12 member.

13 **DR. BREYSSE:** Fantastic. So Jamie, are there
14 any other announcements?

15 **MS. MUTTER:** Just the bathrooms are down the
16 hall on the left. Cafeteria is all the way down the
17 hall on the left. As he said, please silence your
18 cell phones. And if there's an emergency exit we
19 can go out these doors to the left, and there's
20 stairs down to the parking lot. With that I'll hand
21 it back to you.

22 **DR. BREYSSE:** So we have some new CAP members,
23 so I wondered if they wouldn't mind just saying a
24 few words about their background and what they bring
25 to the CAP.

1 **MR. ASHEY:** I'll go ahead and start. Mike
2 Ashey. For ten years, actually 11, I was bureau
3 chief down in Florida for Florida's contamination
4 and cleanup program. We concentrated mostly on
5 petroleum so I'm pretty well versed in remediation
6 and contamination cleanup. And the lithology in
7 Florida is very similar to that at Camp Lejeune, so
8 I think I bring a lot to the table. Prior to that I
9 worked for the Defense Department and -- boo -- as a
10 senior engineer. My last assignment I was technical
11 advisor for the Navy Seals for two years. And then
12 prior to that I went to college, and before that I
13 was in the Marines for four years. And I'm a Camp
14 Lejeune Marine.

15 **DR. BLOSSOM:** Dr. Sarah Blossom. I am in
16 Little Rock, Arkansas at the Children's Hospital
17 Research Institute. I'm an associate professor in
18 pediatrics. I'm an immunologist, slash, oncologist.
19 I have been studying trichloroethylene effects on
20 the immune system and the brain and the liver for
21 about 17 years now. Thank you. And I'm very happy
22 to be here today.

23 **DR. BREYSSE:** Great. So no other
24 announcements, Jamie? Okay.

25 **MS. MUTTER:** So we'll start with the agenda.

1 And the first up is any VA updates or questions for
2 the VA, so I'll hand it to the VA for any updates
3 first.

4
5 **VA UPDATES**

6 **DR. ERICKSON:** I'll go ahead and start. This
7 is Loren Erickson. As all of you know, we've had a
8 change of administration, and with changes of
9 administration there's new people to brief, and so
10 we've been very active in providing information
11 about toxic environmental exposures, in particular
12 Camp Lejeune, to a variety of new leaders within our
13 agency. Also we've had an opportunity to respond to
14 the number of Congressional questions, mostly from
15 staffers who are new, who are very interested or
16 engaged in these types of issues, and so we've had
17 an opportunity to speak to them as well.

18 I'm going to turn it over to Brad Flohr here in
19 a second, but I'll just say that we're glad that the
20 90-day period of Congressional review ended for the
21 Camp Lejeune presumptions regulation on, I believe,
22 the 14th of March, which means that it then took
23 effect without Congress really weighing in, and
24 certainly not becoming an obstacle to those
25 regulations. Brad?

1 **MR. FLOHR:** Thank you. Good morning, everyone.
2 As you know, on March 14th of this year we started
3 granting claims for one of the eight presumptives.
4 We had stayed about 1,700 decisions that could not
5 be granted by our Louisville office from the time
6 the Secretary announced his decision to presumption
7 -- presumptions in December of year before last. As
8 of that date, then, we started to process those as
9 well. We've also done training to all of our
10 regional offices so that there's no reason
11 Louisville should have to do all the work on those
12 presumptives that we can grant. Our other offices
13 can do that. So far about 20 of our offices have
14 actually granted claims.

15 They went through a lot of training. They had
16 to do in-person, classroom training type to figure
17 out what they needed to do to grant a decision.
18 Now, of course, just granting service connection is
19 not the only issue. The other issue is how
20 disabling is the condition. We have to determine,
21 for example, if it's a cancer, if it's active it's a
22 hundred percent, and it remains that way as long as
23 it is active and the veteran is undergoing anti-
24 neoplastic chemotherapy or something. Once it goes
25 into remission then we have to evaluate it based on

1 the residuals and assign the evaluation accordingly.

2 We have done approximately 109 cases in
3 Louisville, I think, since then. There are some
4 issues there that we can't grant them all because
5 we've stayed the claims, for example, where we could
6 not grant scleroderma, because we thought
7 scleroderma was going to be one of the presumptives;
8 turned it out it wasn't. Also we did not have any
9 idea there would be a 30-day requirement of service
10 at Camp Lejeune so some claims have been denied
11 because the veteran did not have 30 days cumulative
12 service at Camp Lejeune. So there were issues that
13 we didn't know about, and now that we know about
14 them it kind of -- it's, it's just more difficult to
15 process the claims than it normally would've been.

16 But it's too early at this time to give you any
17 hard data. It's only been less than a month. I
18 think by the time we have our next CAP meeting I'll
19 have a lot more data that I can give to you about
20 how we're processing these claims. So far it's --
21 at the moment it's going well.

22 **MR. ENSMINGER:** All right. You did a newspaper
23 article with Tom Philpott, and you quoted in that
24 article that you had identified 1,400 claims that
25 had at least one of the eight presumptions. When

1 did you start adjudicating those claims? I mean,
2 when did you really start looking at them?

3 **MR. FLOHR:** March 14th.

4 **MR. ENSMINGER:** So you did nothing between the
5 Federal Register announcement in January --

6 **MR. FLOHR:** We, we couldn't --

7 **MR. ENSMINGER:** -- until March --

8 **MR. FLOHR:** -- we couldn't grant them before
9 March 14th.

10 **MR. ENSMINGER:** No, I'm not talking about
11 granting them, but the one the -- during all the,
12 the lead-in to it, so that when the 14th of March got
13 there you could expedite them.

14 **MR. FLOHR:** Well, those that were not
15 presumptives, we were still working on other issues
16 that have been claimed. We'd have to stop doing
17 those if we were going to work whole-heartedly on
18 the 1,400 we had stayed. We knew we could grant
19 those on March 14th except for service requirements
20 and scleroderma, but other than that we're
21 continuing to grant -- or, or process other claims
22 based on exposure (indiscernible) as well.

23 **MR. ENSMINGER:** Yeah. All right, you talked
24 about the training. Did you conduct this training?
25 Did you have a team?

1 **MR. FLOHR:** No.

2 **MR. ENSMINGER:** What did -- what kind of
3 training and when did you do this training?

4 **MR. FLOHR:** I think this went out from our
5 office of field operations to our regional offices,
6 and the training was done in-person. We had to
7 provide guidance to the field officers to those who
8 were doing the training: Here's what you need to
9 know; here's what you need to train on. But the
10 training did not start 'til March 14th.

11 **MR. ENSMINGER:** Why? Why, why, why didn't you
12 start training in January?

13 **MR. FLOHR:** That's a good question. I asked
14 that question myself. Apparently it's --

15 **MR. ENSMINGER:** Well, who's in charge?

16 **MR. FLOHR:** Not me, the office of field
17 operations and the undersecretary of benefits.
18 Basically it's because, whenever a regulation's
19 going to become effective, we don't do training on
20 it until the effective date because, although it's
21 very -- it would be a very minuscule chance,
22 something could come along which would make us
23 change. Something would be added or subtracted. So
24 we just don't do the training until the actual rule
25 becomes effective. That's what I was told about

1 that.

2 **MR. ENSMINGER:** For God sake, if the military
3 operated under those guidelines, you know, we'd be
4 speaking German or Russian by now.

5 **MR. FLOHR:** Yeah, I speak Russian and German.
6 But no, I can't answer that question, Jerry. I just
7 don't know. That's not my -- I'm not in charge of
8 that.

9 **MR. ENSMINGER:** I mean, but you know, you're
10 deeply involved in this Lejeune issue. I mean,
11 couldn't you have at least gone to your
12 undersecretary and said, hey, look, you know, we got
13 this thing coming up, and we need to train our
14 people?

15 **MR. FLOHR:** Jerry, I did ask about it, but
16 again, it's not --

17 **MR. ENSMINGER:** Well, who was it?

18 **MR. FLOHR:** It's not my decision.

19 **MR. ENSMINGER:** Well, I mean, you know, who?
20 Who did you ask?

21 **MR. FLOHR:** The undersecretary for benefits,
22 office of field operations.

23 **MR. ENSMINGER:** Who's he?

24 **MR. FLOHR:** That's many people in the office of
25 field operations.

1 **MR. ENSMINGER:** Oh, my.

2 **MR. FLOHR:** All right.

3 **MR. ENSMINGER:** All right, who -- I mean, have
4 you guys got a Camp Lejeune expert that, you know,
5 has been identified for these field offices to
6 contact if they have a question regarding a Lejeune
7 claim?

8 **MR. FLOHR:** It would come to the office of
9 field operations from one of our field stations if
10 they have a, a question. And if the office of field
11 operations couldn't answer it, they would hopefully
12 come and ask me about it. So far, no.

13 **DR. BREYSSE:** So can I maybe just remind
14 people -- I was remiss in not bringing this up at
15 the meeting, but to provide a little order and make
16 sure everybody has a chance, if you want to ask a
17 question put your tent up so we can keep track of
18 who's...

19 **MR. ENSMINGER:** You know, you know, what about
20 the claims that were under appeal prior to the 14th
21 of March? What, what are those veterans -- what's
22 the guidance for those veterans?

23 **MR. FLOHR:** That's a good question and it's one
24 that I'm working with the Board of Veterans'
25 Appeals, to see what we can do. It doesn't make

1 sense that someone has a presumptive condition that
2 is on appeal at the Board. That was denied, it is
3 on appeal, where it could take years for that appeal
4 to be completed, doesn't make any sense at all. But
5 we can't grab that issue back and grant it, and then
6 get it back to the Board because there's a
7 possibility, if they were to grant the claim, that
8 the veteran (indiscernible). So that's what I'm
9 working on with VBA. There's just so much involved
10 with back and forth and then how we do this, but I'm
11 working on that right now.

12 **MR. ENSMINGER:** Well, I mean, and then here's
13 another issue. I mean, you knew that these claims
14 had been denied and that they were going into appeal
15 since January, when the Federal Register
16 announcement was published. Why didn't somebody in
17 VBA look at these claims that were denied, that have
18 one of the presumptions, and identify them that are
19 under appeal?

20 **MR. FLOHR:** Again, that's easier said than
21 done. We don't have any actual tracking of issues
22 at the Board. The Board doesn't have that. So
23 we're going to have to work -- try and find a way to
24 identify those issues that are on appeal. And I
25 said, that's what I'm working on now with the VBA.

1 **MR. ENSMINGER:** Okay. Now, what about Camp
2 Lejeune veterans who died prior to the 14th and their
3 widows, or their surviving spouse? Better to put it
4 that way because we have male and female --

5 **MR. FLOHR:** Of course.

6 **MR. ENSMINGER:** -- military members.

7 **MR. FLOHR:** Of course. They definitely should
8 file a claim, if they have not done so already.

9 **MS. CORAZZA:** Even if they -- even if they died
10 before the 14th?

11 **MR. FLOHR:** Yeah.

12 **MR. ENSMINGER:** Yes.

13 **MS. CORAZZA:** So if it goes back -- so it's
14 back to --

15 **MR. FLOHR:** It's a presumptive as of the 14th,
16 but for death benefit purposes, if they died from a
17 presumptive disability, yes, they would be entitled.

18 **MR. ENSMINGER:** And why is it that, if somebody
19 has a claim that has one of the eight presumptives
20 in it, but they have other health effects listed in
21 that, why is that -- why does that claim take
22 longer? I mean, why can't you approve the
23 presumptive part of that claim, and then move on and
24 adjudicate the rest of that claim --

25 **MR. FLOHR:** Yeah.

1 **MR. ENSMINGER:** -- at a later date?

2 **MR. FLOHR:** I agree with you.

3 **MR. ENSMINGER:** Or however long it takes.

4 **MR. FLOHR:** I think that's what's happening.

5 We gave our field stations authority to grant
6 service connection with one of the eight
7 presumptives. Most claims, if not all claims, come
8 in with as many as eight or 13 issues. We can go
9 ahead and grant that one while we work the others,
10 yes, absolutely.

11 **MR. ENSMINGER:** And are people who have one of
12 the presumptives being given C&P exams, to see if
13 they've got any of the residual effects of their
14 cancer that are still --

15 **MR. FLOHR:** If, if there is not any correct
16 medical evidence, like if the veteran submits a
17 statement from an oncologist who's been treating
18 them as an active treatment, we don't need to do an
19 exam for that. If it's been a while, if it's been
20 years since the condition was diagnosed and we don't
21 have any current medical evidence, we would probably
22 request an exam.

23 **MR. ENSMINGER:** And lastly, you made the
24 statement in this article to this reporter that --
25 that can be proven to be a cause by a person's

1 exposure at Camp Lejeune don't necessarily -- won't
2 necessary be listed as the 14th of March. They'll be
3 backdated.

4 **MR. FLOHR:** Well, what I said, and I think what
5 I -- yeah, that's what it was, was I heard from
6 Louisville that some of our offices who are now
7 processing these claims for the presumptives, the
8 effective date or of the claim, the date of the
9 claim, was prior to March 14th, okay? They can grant
10 benefits from March 14th, but if they filed a claim
11 in December of 2013 or January of 2014, they could
12 be found entitled on a direct basis. So if they
13 grant the presumption, and Louisville said they
14 weren't; they were just granting it from March 14th
15 and then sending back the files, done with it. I
16 said that's not right. I've put out an announcement
17 to our field stations saying there's entitlement to
18 an earlier effective date possible on a direct
19 basis. So once you grant service connection from
20 March 14th, send the file to Louisville, and they can
21 process it as they normally would do.

22 **DR. BREYSSE:** All right, so we'll take Tim,
23 Chris, and Mike, but before we do there's a couple
24 people who have joined us who -- Craig, you want to
25 introduce yourself real quick?

1 **MR. FLOHR:** By the way, Loren said we were
2 meeting lots, again, with Congressional staff. Next
3 week I'm going to be briefing the four corners on
4 Camp Lejeune and what we're doing right now. We're
5 very involved with Congressional staff.

6 **MR. ENSMINGER:** What are the four corners?

7 **MR. FLOHR:** The House and Senate minority and
8 majority.

9 **DR. BREYSSE:** Craig?

10 **MR. UNTERBERG:** Yeah, Craig Unterberg. I'm
11 with the CAP.

12 **DR. BREYSSE:** And John, if you could introduce
13 yourself -- a little bit of background since you're
14 a new member.

15 **MR. MCNEIL:** I got you. John McNeil. I'm a
16 member of the CAP. I started out -- I lived at Camp
17 Lejeune as a Marine. After the Marine Corps I went
18 to college and law school, and now I'm a lawyer. I
19 know a couple of these folks. I knew Lori
20 Freshwater from back after college -- or in college.
21 That's how I got involved with the CAP. I've got a
22 lot of friends that are dealing with this or their
23 family members. That's why I'm here, so.

24 **DR. BREYSSE:** Tim?

25 **MR. TEMPLETON:** Thank you for the great news,

1 Brad. One question that I had come up, and I wanted
2 to ask you. If, if someone had -- submits a claim
3 after, well, let's say today, they submit a claim
4 for one of the presumptives, then it will backdate
5 to the March date?

6 **MR. FLOHR:** That's a good question, Tim, thank
7 you. Yes, there is -- when we have a liberalizing
8 rule like this. Anyone who files a claim within one
9 year from March 14th will be backdated to March 14th.

10 **MR. TEMPLETON:** And after that --

11 **MR. FLOHR:** After that date --

12 **MR. TEMPLETON:** -- (unintelligible).

13 **MR. FLOHR:** Yes.

14 **MR. TEMPLETON:** Okay, got it. Thank you.

15 **DR. BREYSSE:** Chris?

16 **MR. ORRIS:** Morning, Brad. Before I ask my
17 question I'd like to extend a warm welcome to Jason
18 Lowry, who is Congressman Walter Jones's aide. It's
19 a pleasure for Congressman Jones's aide to be here
20 today, and I believe he's working with y'all in
21 regards to the Camp Lejeune issue.

22 Brad, I have a question regarding the field
23 offices with presumptives. Who is in charge of
24 that?

25 **MR. FLOHR:** We have an office of field

1 operations.

2 **MR. ORRIS:** Who's in charge of the office of
3 field operations?

4 **MR. FLOHR:** Willie Clark.

5 **MR. ORRIS:** Willie Clark? Can we not get
6 Willie Clark here at the next meeting?

7 **MR. FLOHR:** I don't know. I could ask.

8 **MR. ORRIS:** I think that would be beneficial.
9 That's all I have, Brad.

10 **DR. BREYSSE:** Mike? Mike? Let him, Mike, go
11 first.

12 **MR. ASHEY:** Hi, I've got a couple questions. I
13 know I'm new, and you may have approached some of
14 this ground already, but I've got some experience
15 dealing with one of the VA offices as I went through
16 the registration process for -- just to get VA
17 healthcare, and that was based on getting letters
18 every six months from the VA saying, hey, you're a
19 Camp Lejeune Marine; you need to sign up. What --
20 what's the backlog for the number of citizens,
21 either Marines, Army or Navy or civilian personnel,
22 civil service, that worked at Camp Lejeune that have
23 made applications, say, on your 1010-EZ, and have
24 not been approved yet? What's the backlog?

25 **DR. ERICKSON:** Is this in relation to the Janey

1 Ensminger Act --

2 **MR. ASHEY:** Yes.

3 **DR. ERICKSON:** The 2012 law?

4 **MR. ASHEY:** Yes.

5 **DR. ERICKSON:** So we'll have Brady answer this
6 one.

7 **MR. WHITE:** So I was going to go over a little
8 bit about some of the data that we have. Most of
9 the people here have heard it before so I didn't
10 really have a presentation to give. But basically
11 as of today we have received a total of
12 2,101 applications, and we've granted administrative
13 eligibility for 415. And by administrative
14 eligibility I mean we've shown that the veteran was
15 stationed at Camp Lejeune, that there was a
16 dependent relationship between the veteran and the
17 dependent, and they were on base for 30 or more
18 days. So that makes them eligible to receive
19 benefits in the program.

20 You asked specially though about pending
21 applications, and right now, for administrative
22 eligibility we only have about 96 applications that
23 are pending, and our goal is to complete those
24 within 30 days. And that's -- just so you know, I
25 know you're new to the group here, but I'm over the

1 family member health benefits side of the program,
2 and then Brad, he's more over the benefits side.
3 Did that answer your question?

4 **MR. ASHEY:** So if I understand you correctly,
5 2,100 service personnel have been approved --

6 **MR. WHITE:** No. That's family members.

7 **MR. ASHEY:** Okay. What about service
8 personnel, Marines? Do you have a list of, say,
9 Army, Navy, Marines, Air Force personnel who may
10 have served at Camp Lejeune for 30 days and have
11 been qualified for VA healthcare, that are in --
12 that didn't -- they didn't retire from the military;
13 they served four years or six years, and then they
14 found out about the Camp Lejeune issues and
15 submitted an application for VA healthcare.

16 **MR. WHITE:** Yeah. I -- let me get that
17 information, and I'll report out the -- while Alan
18 is going to be giving his presentation.

19 **MR. ASHEY:** Okay.

20 **MR. UNTERBERG:** Brady, did you say 2,100 were
21 approved or 14 --

22 **MR. WHITE:** 2,100 were -- 2,101 applications
23 were received.

24 **MR. UNTERBERG:** And 415 were approved?

25 **MR. WHITE:** 415 were administratively approved.

1 **MR. UNTERBERG:** Right. So what was the main
2 rejection reason?

3 **MR. WHITE:** We've got three main rejections.
4 One is we couldn't show 30 or more days of residency
5 at Camp Lejeune, 192 we couldn't show a dependent
6 relationship, and 104 the veteran didn't meet the
7 veteran criteria.

8 **MR. ENSMINGER:** What were the numbers on that
9 again? How many on the first, second, third, how
10 many?

11 **MR. WHITE:** 279 -- and what I'll do, Jerry, is
12 I'll send this to Jamie, and she can forward it out
13 to you guys.

14 **MR. ENSMINGER:** You know, because the numbers
15 that you just gave don't add up (inaudible). They
16 don't add up to 2,100.

17 **MR. WHITE:** Well, there's 591 that were denied,
18 okay? Of those 591 that were denied 279 were denied
19 because the 30-day criteria, 192 because of we
20 couldn't show a dependent relationship, and 104
21 because of the veteran criteria.

22 **MR. ENSMINGER:** (inaudible).

23 **MR. WHITE:** 415 were administratively approved
24 for eligibility.

25 **MR. ORRIS:** But for the administratively

1 eligible it doesn't mean that you're paying any
2 benefits on some of those, correct? I believe I'm
3 an administratively eligible --

4 **MR. WHITE:** Right.

5 **MR. ORRIS:** -- member, but I've never received
6 any compensation, I don't think. So how many are
7 you actually paying benefits to?

8 **MR. WHITE:** We are actively -- so that's a good
9 point. So once somebody's administratively eligible
10 then we have to review their medical evidence to
11 make sure they have one of the 15, all right? And
12 right now we're actively paying for 263 family
13 members.

14 **MR. ORRIS:** Thank you.

15 **MR. WHITE:** For their...

16 **MR. ENSMINGER:** Well, the numbers got
17 misquoted. They only add up to 990.

18 **MS. MUTTER:** While there's a break in
19 conversation can I remind everybody to use their
20 microphones so that people online meeting and the
21 transcriptionist can get the conversations? Thank
22 you.

23 **MR. WHITE:** So Jerry, let me go through all
24 the -- maybe that'll give you a better picture. So
25 of the 2,101 applications we received we, again,

1 approved 415. Of those, 228 were deemed clinically
2 ineligible, 591 we approved or were administratively
3 ineligible, and we have 96 that we're still -- that
4 are going through the system.

5 **MR. ENSMINGER:** Okay, we're starting to get up
6 there now.

7 **MR. ORRIS:** So Brady, how many --

8 **MR. WHITE:** Hold on a second. There's 771 that
9 were administratively eligible but were -- the
10 number's a little misleading but we're -- the way we
11 have it here is we're waiting on a clinical
12 determination. Basically what most of that is is,
13 you know, somebody might have filed, made
14 administratively eligible, but now we're waiting on
15 either them to submit medical documentation, you
16 know, to, to make sure that they have one of the 15;
17 that's what most of it is.

18 **MR. ORRIS:** So Brady, going over the numbers,
19 what is your office's projected -- when we set this
20 up how many applications did you project initially?

21 **MR. WHITE:** We were initially thinking we were
22 going to get 1,300 applications here.

23 **MR. ORRIS:** And you've received 12 --
24 2,100 over three years?

25 **MR. WHITE:** Since we've been operating.

1 **MR. ORRIS:** Now, what's your office's budget
2 every year?

3 **MR. WHITE:** I don't have those at my
4 fingertips.

5 **MR. ORRIS:** Okay. The reason I'm asking is I
6 would suspect that your operating costs are far
7 higher than what you're actually paying out in
8 benefits right now; is that correct?

9 **MR. WHITE:** That is probably accurate,
10 absolutely.

11 **MR. ORRIS:** So would you consider the outreach,
12 the amount of applications that you have received, a
13 success, or is it something that needs further work
14 right now?

15 **MR. WHITE:** Well, we worked very closely with
16 the Marines to get the materials and get the word
17 out as best we could, so at this point -- you know,
18 we were receiving probably about ten applications a
19 week. Since the presumptive issue has gone out
20 that's increased the level of awareness.

21 **MR. ENSMINGER:** Who are you working with --

22 **MR. WHITE:** For family members. Pardon me?

23 **MR. ENSMINGER:** Who are you working with to get
24 proof that these people were actually dependent?
25 Where are you getting the documentation of whether

1 or not these people actually qualify or not?

2 **MR. WHITE:** We are working with the health
3 eligibility center that -- you know, we have access
4 to the veteran electronic record. So ideally we can
5 do all this without the family member actually even
6 submitting actual documentation, because, you know,
7 if you were there 30, 40 years ago, what's the
8 likelihood of that happening?

9 And if you recall, early on what we were able
10 to do was to show residency requirements. We worked
11 with our office of general counsel, and as long as
12 we can show a veteran was assigned to base housing,
13 because they kept all those records in little post
14 cards, and since then they digitized them, and we
15 have access to that database now. So if we can show
16 that the veteran was assigned to base housing and we
17 have that dependent relationship, then we can make
18 that link.

19 **MR. ORRIS:** So my next question's going to be
20 for Melissa Forrest. You know, what does the
21 Department of the Navy think about the presumptive
22 benefits for active duty military, and yet the
23 family members of those active duty military are
24 receiving something much less? Why the disparity
25 and what is the Department of the Navy doing to make

1 sure that their family first is taking care of the
2 families as well?

3 **MR. WILKINS:** Go ahead, put it on record.

4 **MS. FORREST:** That's fine. I hear what you're
5 saying. I think I'm going to need it put more into
6 a question to take back to them.

7 **MR. ORRIS:** Okay, so here. Why -- what is the
8 Department of the Navy doing to provide the same
9 benefits and care for everyone equally exposed to
10 the toxic water at Camp Lejeune, whether they be
11 active-duty military personnel, civilian employees,
12 or the families of those active-duty military
13 people, who were stationed and lived at that base,
14 and drank and bathed in the water just as much? Why
15 the disparity and what is the Navy doing to fix
16 that?

17 **MS. FORREST:** I'll take that back. You know,
18 I -- my understanding of it is a lot of that is done
19 through the VA for benefits, but I'll, you know,
20 take it back and get an official response.

21 **MR. ORRIS:** Well, no, the Navy could be talking
22 to Congress and making sure that they're taking care
23 of their families.

24 **MS. FORREST:** Okay.

25 **MR. ENSMINGER:** No, the Navy slipped out from

1 under the side of the tent. Somebody needs to grab
2 them by the heel of their boot and pull them back in
3 and beat the hell out of them.

4 **MS. FORREST:** I think I'll capture Chris's
5 question.

6 **DR. BREYSSE:** That was a facetious comment, for
7 the record. Mike Partain?

8 **MR. PARTAIN:** Okay. Brad, couple of things.
9 First, you know, we were talking about the ratings
10 and, you know, a hundred percent during treatments
11 for cancer and stuff, and we, through Facebook and
12 the internet and stuff, we did have a lot of people
13 to talk to us. The first thing I want to ask is we
14 need a single point of contact that we can send
15 people to for questions. I've sent a couple people,
16 one in particular, dying of bladder cancer in Texas,
17 Mr. Daniel. He just got back out of the hospital
18 and they have yet to hear anything, and he has
19 bladder cancer. I thought there was going to be
20 some action, like they were under the impression
21 that something was going to happen but he emailed me
22 two days ago to let me know that there's been
23 absolutely nothing back to them from the VA on this
24 case. So people are falling through.

25 Anyways, so that is the first thing, is what

1 are we doing about, you know, these people are
2 falling through the crack? Can we get a single
3 point of contact where we could get people to and --
4 so we can follow up on it as well? I know we've
5 been using you, but I don't know if that's working
6 or not.

7 The second -- you know, post-chemo effects,
8 where a veteran has gone through cancer and
9 chemotherapy and treatment. Another veteran
10 contacted me. He had bladder cancer as well. He
11 went through aggressive chemotherapy, and has
12 extensive nerve damage, neuropathy, post-
13 chemotherapy, but yet he's service-connected, and no
14 one bothered to ask him about his, you know, after-
15 effects, or what have you. He actually had nerve
16 testing done, and it is well documented that he has
17 extensive nerve damage in his legs and his feet. So
18 I guess, I mean, what are you guys doing to capture
19 that? And then I have one question for Brady, but
20 take your time and comment on those.

21 **MR. FLOHR:** That last one, if the bladder
22 cancer was just a zero, that's not right. That's
23 erroneous. At the public meeting we had in Tampa,
24 afterwards, I met a spouse that was there. He was
25 in a wheelchair, and he really couldn't talk. He

1 was in bad shape. He had bladder removed. He had
2 his kidney -- kidneys removed, and he had a zero
3 percent evaluation. I said that's not right. And I
4 went back to the office, and I contacted Louisville.
5 I said, look at this. This is not right. And they
6 agreed that they'd made an error, so they gave him
7 100 percent-plus the next day, and we got him a nice
8 retro check. But, I mean, errors do get made. I'm
9 sorry they do.

10 But I've gotten a number of emails from Camp
11 Lejeune veterans the last couple of months. A
12 couple of them said they were going to be here
13 today. And I tried to take care of them. I contact
14 Louisville, or whoever's working on it, and make
15 sure that they get the service that they need. So
16 you can always use me.

17 **MR. PARTAIN:** Well, if you could follow up with
18 the Daniels, give them some peace of mind before --

19 **MR. FLOHR:** Well, you need to send me his
20 information.

21 **MR. PARTAIN:** Well, you've already -- I'll send
22 it again, but I sent you the email, and you were in
23 contact with them and so forth.

24 **MR. FLOHR:** Yeah, I forwarded it to Louisville,
25 they said they were looking at it, so I'll see

1 what's happening.

2 **MR. PARTAIN:** Basically they went through the
3 wringer, and nothing's happened, so the -- and by
4 the way, the veteran with the chemo bladder cancer
5 was -- went in the Marine Corps with Danny, the
6 gentleman you were referring to, who has since
7 passed from his kidney cancer. They were buddies in
8 the Marine Corps. Both of them ended up with
9 bladder cancer, and Danny ended up with bladder and
10 kidney cancer.

11 My last question for Brady on the Dependent
12 Care Bill. Now, you're mentioning those 263, I
13 guess, dependents have received benefits. I know of
14 two of them that -- and this has been a problem --
15 both of them were treating for breast cancer, one's
16 male, one's female, and they're having problems with
17 getting payments made on time. Some of these
18 doctors' bills are going past 90 days. They're
19 getting collection calls from care providers and so
20 forth. If need be I can -- I haven't heard from
21 them lately, but that is something that was brought
22 to my attention. And what can we do to get these --
23 I mean, 263's not a lot. What can we do to get them
24 paid on time?

25 **MR. WHITE:** So my understanding from the, the

1 team that does our claims payment processing, again,
2 their goal is 98 percent within 30 days. And, you
3 know, they don't have a lot of claims that they're
4 dealing with, so they're supposedly meeting that
5 goal regularly. So if you can give me their
6 specific information I'll definitely look into it.

7 And Mike, so I got some numbers. For
8 veterans -- veteran healthcare. So this is for last
9 year, for FY '16. The VA provided healthcare to
10 30,372 Camp Lejeune veterans. 2,557 of those were
11 treated specifically for one of the 15 conditions.

12 **MR. PARTAIN:** How many?

13 **MR. WHITE:** 2,557. Meaning that they had one
14 of the 15 conditions. And if you guys remember, to
15 receive medical benefits, to qualify for VHA
16 healthcare benefits, all they need to do is show
17 that they had -- they were stationed at Camp Lejeune
18 and they were brought in as a category 6, priority
19 group 6 veteran. And that means that they can
20 receive healthcare benefits in the VA. And then
21 when they get treatment for one of the 15 conditions
22 they don't have any copays for that treatment.

23 **MR. ASHEY:** Thank you. How about the backlog?
24 I know that there's a processing backlog. Do you
25 have a number for that?

1 **MR. WHITE:** Not for healthcare benefits.

2 **MR. ASHEY:** Okay.

3 **MR. WHITE:** I think it was less than ten, so it
4 was really minuscule.

5 **MR. ASHEY:** A couple more questions. When a
6 veteran fills out 1010-EZ online, and they check
7 that box that says they're a Camp Lejeune Marine,
8 and then they go on and, and there's another section
9 in the instructions that says: If you checked the
10 box for a Camp Lejeune Marine you don't have to fill
11 out the financial part of that form. Why is it the
12 practice of, at least the Lake City, Florida office,
13 to then send a complete application to that veteran?
14 'Cause I went online, filled out the 1010-EZ,
15 checked the box, and then ten days later I got a
16 complete stack of papers with a demand to fill out
17 everything, including the financial, even though I
18 had checked that box.

19 And when I called that processor, aside from
20 the not-so-friendly phone call, or the discussion
21 with him, what he told me was that, if I didn't fill
22 out the form completely he would throw it in the
23 garbage can. So -- and, and that's my personal
24 experience with that.

25 Now, what I told him was I was going to send

1 him my DD-214, which said I was discharged from Camp
2 Lejeune. His response was: Well, you still need to
3 verify you were there for 30 days. And I said,
4 okay, I'll send you my sergeant's warrant. And he
5 said: If you send me the sergeant's warrant I'll
6 throw it in the garbage can. So I -- my first
7 question is why do you -- why, why did that
8 office -- I don't know if it's standard protocol --
9 but why did that office, after I filled out the
10 1010-EZ, send me the complete application, make that
11 demand, and say that I needed to demonstrate I was
12 here for 30 days, when I told him I had a sergeant's
13 warrant that said Camp Lejeune and a DD-214 that
14 said Camp Lejeune and they were about a year apart,
15 that that wasn't good enough?

16 **MR. WHITE:** So thank you for bringing that up.
17 I can do two things here. If you have the name of
18 that individual that said he was going to throw your
19 information in the trash I would like to get that.

20 **MR. ASHEY:** I have that. I'll give it to you.

21 **MR. WHITE:** Okay. And second is unfortunately
22 we work in a really large bureaucracy, and as much
23 training and everything that they do, our health
24 eligibility center is the one that handles the
25 veteran eligibility piece of this whole puzzle.

1 Sometimes, you know, we like to have a
2 representative here, but because this wasn't a
3 public meeting, we didn't do that. But it should be
4 a really easy process as far as signing up for Camp
5 Lejeune veterans. So the fact that you didn't have
6 that experience troubles me, and I'll follow up and
7 ask them about what's going on.

8 **MR. ASHEY:** Okay.

9 **MR. WHITE:** But that office is not the only one
10 that we've had --

11 **MR. ASHEY:** Issues with.

12 **MR. WHITE:** -- concerns about.

13 **MR. ASHEY:** So but let me make sure that I
14 understand. If a veteran goes online and fills out
15 the 1010-EZ, they should not be -- and they check
16 that box for being at Camp Lejeune or Camp Lejeune
17 service personnel, they should not get in the mail
18 that additional paper forms with all of that -- all
19 those requirements? Any paper form at all.

20 **MR. WHITE:** Mike, I'm just not that familiar
21 with that side of the house. So I can follow up and
22 find out about it, but...

23 **MR. ENSMINGER:** Do your people have access to
24 the DMDC, the defense manpower data center?

25 **MR. WHITE:** I believe so. There's multiple

1 sources online that they go in and they... You
2 know, they -- normally they don't need DD-214. You
3 know, that's all in the electronic file, right? So
4 we should have access to all that.

5 **MR. ASHEY:** Well, I had to send them a copy of
6 my DD-214, and I sent them my sergeant's warrant and
7 a cover letter that said, hey, if I don't get a
8 response in 30 days I'm not going away.

9 **MR. WHITE:** Yeah, and I'd be very interested in
10 getting that individual's name.

11 **MR. ENSMINGER:** And by the way, Brady, to set
12 the record straight, all these meetings are public.
13 Even though we meet here in this facility these are
14 public meetings.

15 **MR. WHITE:** Okay, good point. Thank you.

16 **MR. ORRIS:** Quick question for you, Brady.

17 **DR. BREYSSE:** Chris, there's other people who
18 had their --

19 **MR. ORRIS:** Oh, sorry.

20 **DR. BREYSSE:** -- tents up first.

21 **MS. CORAZZA:** Just to clarify, 'cause I've done
22 some work with the different priority groups, my
23 understanding would be if we have 30 -- if we have
24 20, say, thousand people using it that don't have
25 one of the 15 conditions. So you're saying, period,

1 Camp Lejeune care is free, even if it's not covered
2 by the -- for the service member, even if it's not
3 one of the 15 conditions? 'Cause I've had to
4 submit -- I'm under several different priority
5 groups, and I've had to submit financial paperwork,
6 and I pay two or four dollars, based on my financial
7 standing. So just to clarify, you do not --

8 **MR. WHITE:** Again, I think -- so for treatment
9 of one of the 15 conditions, if you're priority
10 group 6 veteran, you don't have any copays for that
11 treatment.

12 **MS. CORAZZA:** Okay.

13 **MR. WHITE:** Anything else you do.

14 **MS. CORAZZA:** Which would then that's why you
15 would have to do the financial information, because
16 that is what determines your copays. So that's what
17 I was asking. Thank you.

18 **MR. WHITE:** Well --

19 **MS. CORAZZA:** So they were not wrong; they were
20 just rude.

21 **MR. WHITE:** Well, if, if -- well, I have the --

22 **MS. CORAZZA:** Yes.

23 **MR. ASHEY:** -- I've got the forms right here
24 online, and it said: If you check this box you do
25 not need to submit financial information for VA

1 healthcare, period, end of story. That's what the
2 form online said. When I had that discussion with
3 the representative from the Lake City VA office, I'm
4 not going to go into the details of all of the
5 explicatories [sic] we had back and forth, but what
6 I got out of him was -- I mean, there was a level of
7 frustration on his part. He told me he had a lot of
8 veterans who were in his backlog who had not yet
9 been approved, and the expectation upon my part was
10 it would probably take a year. Now, in my case it
11 was 30 days or less. Now, why that happened I don't
12 know. I'm thankful that it did, just to get the VA
13 health coverage.

14 But I finally submitted that 1010-EZ because of
15 the outreach from the VA, which I thought was very
16 good, that they had been sending me these
17 notifications for like four years. And I finally
18 decided that I would go ahead and go through that
19 process.

20 My hesitation was, back in 1979, when I went to
21 the VA for a disability from being involved in a
22 helicopter crash, I was not treated well. I mean,
23 it was, you know, no Vietnam veteran was treated
24 well back in 1970. So but my experience with the VA
25 clinic in Tallahassee has been exemplary. It was

1 that process that I went through that was just --
2 you know, it was like a -- it was 1979 all over
3 again. So once I got through that it was okay. But
4 I'll share with you some of this stuff offline,
5 after the meeting.

6 **MS. CORAZZA:** Yeah. Can we get the
7 clarification, then, 'cause they need to change the
8 form if financial...

9 **MR. WHITE:** Yeah, absolutely. I'll let you
10 look.

11 **DR. BREYSSE:** So some people who have had their
12 tent up a while haven't had a chance to talk yet.
13 So Craig?

14 **MR. UNTERBERG:** So Brady, at the end of the
15 last meeting it sounded like I had pretty good hopes
16 for the acceptance process. You guys got the
17 housing records. But I was just kind of just doing
18 rough percentages. It sounds like 90 percent of the
19 applicants are not receiving benefits, and at least
20 50 percent have been rejected. So it seems like,
21 considering a low application rate as well on top of
22 that, now the rejection rate has really gone up, and
23 there's very few people actually getting benefits.
24 So I'm trying to figure out if there's still some
25 information you're missing or you're getting just

1 bad applications, but it seems like a very high
2 number of rejections or (indiscernible).

3 **MR. ASHEY:** Can I make one more suggestion, and
4 then I'll shut up?

5 **MR. WHITE:** So again, most of the admin
6 eligibility denials, and it's -- I don't know the
7 exact percentage but 591 were denied because of the
8 administrative eligibility; is that what you're
9 primarily asking? I mean, why was that?

10 **MR. UNTERBERG:** Right. So when you say someone
11 has to live on the base for 30 days, so let's say
12 the housing record shows that they were on the base.
13 How do you figure out -- well, how do you decide
14 whether it was 30 days or not? I mean, people that
15 are just not showing up in the housing records?

16 **MR. WHITE:** We actually don't -- we give them
17 the benefit of the doubt of the 30 days.

18 **MR. UNTERBERG:** So you have people that are not
19 showing up in the housing records?

20 **MR. WHITE:** Yeah. I mean, at the last meeting
21 I had somebody that was asking me about the
22 trailer -- there was some trailer park on base. And
23 we just -- that's just not something that the Marine
24 had any record of, apparently.

25 **MR. ENSMINGER:** Oh, yeah, they do.

1 **MR. WHITE:** They did?

2 **MR. ENSMINGER:** Yeah. ATSDR's got them.

3 **DR. BOVE:** We have the same data they have.
4 And the Knox trailer park was uneven in terms of
5 coverage in the post cards, or index cards, you were
6 talking about, but there were other trailer parks
7 nearby as well, apparently.

8 **MR. ENSMINGER:** Yeah, one was Geiger, and that
9 wasn't -- that wasn't on the main side.

10 **DR. BOVE:** Part of Knox was not covered at all
11 by those post cards; we know that. Now, whether
12 that was off base somehow or considered off base.

13 **MR. ENSMINGER:** No. Those were the people that
14 owned their own mobile home.

15 **DR. BOVE:** Right, okay.

16 **MR. ENSMINGER:** They've got a lot there. But
17 the ones that lived in the little tinman --

18 **DR. BOVE:** Right. Those may have been covered.
19 But again, the --

20 **MR. ENSMINGER:** -- camping trailers.

21 **DR. BOVE:** We worked with these post cards, or
22 index cards there, and they were spotty with Knox.
23 I mean, they admitted that it was spotty.

24 **MR. WHITE:** Well, as long as they were in the
25 post card, then we'd have it in the database. So

1 everything in that database would be -- now, we
2 don't just deny that though, if they're not in the
3 housing database. I mean, we reach out to --
4 there's a record center in St. Louis, the national
5 archives, and we'll ask them to kind of search the
6 records, if there's any kind of record that shows
7 residency, and if that fails we will also reach out
8 to the Marine Corps, and ask them to do another
9 search.

10 But you're right, I mean, it's -- 591, I would
11 like to obviously be able to help everybody out that
12 we can, but, you know, there's certain stipulations
13 in the law that we have to follow, and that's one of
14 them.

15 **MR. UNTERBERG:** So if you guys had a sworn
16 affidavit from the applicant that that is the law --

17 **MR. WHITE:** No. Early on I asked our office of
18 general counsel if we could use that, and that's --
19 that doesn't -- I forget what their term was, but
20 that doesn't rise to the level of evidence that we
21 would need.

22 **MR. UNTERBERG:** Is that in -- that's in the
23 bill, and they can get evidence or that's the
24 interpretation by the general counsel?

25 **MR. WHITE:** It's probably not in the bill. I

1 don't think there's language in the bill, but there
2 is language about, you know, 30 or more days at Camp
3 Lejeune, so.

4 **MR. UNTERBERG:** Because it's not a -- it's not
5 like -- you know, you don't have a huge applicant of
6 potential fraudulent, you know, applicants. It's a
7 pretty small number relative to the population. It
8 would seem like an affidavit or something. 'Cause
9 I -- I went through that process, and if you're not
10 in that housing base record, I mean, trying to find
11 a moving record or an electric bill, I mean, you
12 really have it extremely difficult, and you're
13 basically saying no. And there should be some way
14 to prove it up that helps to (indiscernible) out
15 'cause there's 600 people. We're not talking about,
16 you know, 60,000. So it seems not a very high
17 hurdle for those people that they're not going to be
18 able to overcome.

19 **MR. WHITE:** Well, keep in mind only 279 were
20 because of Camp Lejeune residency.

21 **MR. UNTERBERG:** But even smaller. So to me --

22 **MR. WHITE:** But that -- that's what was denied.

23 **MR. UNTERBERG:** I know, but to me, the
24 solution --

25 **MR. WHITE:** That's actually, I would think, a

1 fairly small number, given the fact that, you know,
2 of all the ones that we've approved, you know, we --

3 **MR. UNTERBERG:** That's still ten percent. So
4 ten percent, still a significant number.

5 **MR. WHITE:** Absolutely, and especially to those
6 that we've denied, sure.

7 **MR. UNTERBERG:** Yeah, I know I've requested it
8 before but, you know, I am an attorney, and I would
9 like to speak sometime to the general counsel who's
10 making these decisions, to try to discuss why they
11 cannot accept something other than, you know, base
12 records, why they couldn't accept a sworn affidavit.
13 I think in the past, you know, you know, you can't
14 give out those names, but in the past I have not
15 received any response from general counsel. So
16 again, I would request on the record that someone
17 from the general counsel's office reach out to me to
18 discuss the process.

19 **MR. WHITE:** If -- why don't we do this, Craig.
20 Why don't you send me an email?

21 **MR. UNTERBERG:** Okay.

22 **MR. WHITE:** Okay, with that request, and I'll
23 make sure it gets forwarded to the right people.

24 **MR. UNTERBERG:** Okay. Thank you, Brady.

25 **DR. BREYSSE:** Bernard?

1 **MR. HODORE:** Yes. I was wondering why neural
2 behavioral effects is not on the presumptive list,
3 yet (unintelligible) is. I just can't get a clear
4 answer to that.

5 **DR. ERICKSON:** Okay, so the question is why is
6 neural behavioral effect not a presumption?

7 **MR. HODORE:** That's correct.

8 **DR. ERICKSON:** So certainly neural behavioral
9 effect is part of the 2012 law, and it was largely
10 undefined by Congress when they wrote the law, which
11 was left then to the agency to interpret what neural
12 behavioral effect meant, and that was in our
13 clinical guidelines. We then asked the national
14 academies to review our clinical guidelines and give
15 us feedback as to what -- you know, how we could do
16 a better job of interpreting the law for the sake of
17 words like neural behavioral effect, so you're right
18 on track. And we've completed the rewrite of those
19 clinical guidelines to be more specific about what
20 those neural behavioral effects are, and I've talked
21 about them in previous CAP sessions. But the
22 challenge with the presumptions is that we -- we're
23 looking primarily for diagnoses that have an ICD-9
24 code with it. In other words an established
25 disease. You know, bladder cancer, Parkinson's

1 disease, something that has a diagnostic definition
2 that's pretty solid and that's founded in the
3 practice of medicine, so that, you know, everyone
4 agrees, yeah, that's bladder cancer; yeah, that's
5 Parkinson's disease.

6 Neural behavioral effect is, you know, to be
7 blunt, is too squishy to be a presumption. You
8 know, and I see Frank's nodding his head. It's
9 just -- it's not an exact enough term for us to put
10 into a presumption. So we look for what would be
11 diseases that we think fall in that category of what
12 organic solvents would cause, what diseases and
13 conditions would be caused, that have an ICD-9 code
14 or an ICD-10 code.

15 **MR. HODORE:** Yet still you have it as one of
16 the 15 health defects.

17 **DR. ERICKSON:** Well, again, this was Congress.
18 Congress gave that to us. So it wasn't the VA
19 created that list of 15. And we've done our best to
20 deal with that list of 15 and the execution of the
21 2012 law to the fairest degree possible. But the
22 burden of proof, what was necessary, and I'll talk
23 about presumptions, is sort of a different set of
24 rules, okay, and that's why we need something that's
25 a little more solid to work with.

1 Now, to sort of remind everybody, we're -- of
2 course, we're proud, we're glad that we have these
3 eight disease categories, now it's presumptions.
4 The book is not shut. You know, we continue to be
5 open to new studies. We continue to look forward to
6 some of the studies that ATSDR has ongoing, as
7 they'll further inform. Perhaps additional things
8 could be added to the presumptions list. But this
9 was the starting point, were those eight. Those
10 eight were the ones that we thought that the
11 evidence was the strongest for, and they were
12 clearly defined as things that we could recognize
13 and act on.

14 **MR. ENSMINGER:** I need to clarify some stuff
15 about the 2012 law, and the list of health effects
16 that was included in that law came off of the 2009
17 NRC report, which was a joke, for lack of a better
18 term. Non-Hodgkin's lymphoma was not on that list.
19 I got that added at the end. And there were a lot
20 of other illnesses, cancers, what have you, that
21 should've been added.

22 With that being said, S-758 was just introduced
23 last week, which is the 2017 Janey Ensminger Act,
24 which will require a review of all scientific data
25 that will update and correct that original law, or

1 original bill. Some of those health effects on the
2 2012 law will go away, legitimately, and others will
3 be added, like congenital heart defects, Chris.

4 With that being said, this bill was introduced
5 in the last Congress, and it died with the last
6 Congress because the VA didn't like it. The VA
7 didn't like it because it requires that three-year
8 review be done by ATSDR, instead of going to the IOM
9 or the National Academy of Sciences. And I don't
10 get it. I mean, I really don't get why the VA and
11 DoD have got to go to an external governmental
12 agency to get their evaluations, which is charging
13 the taxpayers twice. We're already paying to upkeep
14 ATSDR, or keep them staffed, and housed. And then
15 they're paying for you guys to go to the National
16 Academy for evaluations that ATSDR or the NIEHS or
17 NIOSH or some other government agency could do for
18 you. And I know why. Because when you go to the
19 National Academies you get the chance to write a
20 charge, and you can get a predetermined -- you get a
21 report back from them based on your charge that you
22 write.

23 Now, going to the eight presumptions and what
24 was approved, there are two health effects that
25 have -- that meet the criteria that was set forth by

1 the Secretary of the VA, that was moderate or
2 sufficient scientific evidence for causation. One
3 was end-stage kidney disease and the other one was
4 scleroderma. Both of those health effects were
5 dropped, and they need to be added back on. And I'm
6 not done yet. Your Secretary kind of put you guys
7 on the skyline for today, where he said: Public
8 scrutiny? Bring it on. I'm bringing it on. I'm
9 coming.

10 And, you know, I -- to be honest with you, you
11 know, the VHA was put under a microscope with the --
12 you know, the waiting lists and all that stuff at
13 the VA medical centers, but to be honest with you,
14 I've heard a lot of good things about VHA and the
15 treatment that people get at the VA hospitals. You
16 know, don't get too happy, Ralph, because the
17 creation of programs such as the subject matter
18 expert program for Camp Lejeune, I want to know
19 where the legitimacy is where you can create a
20 separate stepping stone, or hurdle, for a veteran to
21 make a claim through the VA that is only for one
22 specific issue. I think that's discriminatory, to
23 be honest with you. And if you're going to create a
24 subject matter expert program, I have no problem
25 with that, as long as it's across the board and you

1 actually have subject matter experts, not somebody
2 citing Wikipedia citations.

3 **DR. BREYSSE:** All right, does the VA want to
4 respond?

5 **DR. ERICKSON:** Yeah, certainly. So Jerry, you
6 gave me a lot to respond to, and I'll respond to the
7 first part, and then Alan Dinesman will respond to
8 the second part, the last part. You know, for those
9 who will be reading the transcript of this session I
10 just want to sort of put out a few key elements of
11 the historical timeline. Not since the 2009 report
12 has the VA directly asked for a review of the
13 evidence for the sake of making presumptions. Now,
14 they would never say you should make this
15 presumption, but the 2009 report with the NRC was
16 the last time that that was done. Now, since that
17 time, it is true that they reviewed our clinical
18 guidelines but that was a separate issue.

19 So what I will tell you is that the eight
20 presumptions that just took effect on the 14th of
21 March did not rely on the 2009 study, so it's been,
22 you know, eight years since that study was done, but
23 I'm really proud and I'm very grateful to say that
24 we actually relied on the ATSDR, the fact that --
25 and you brought this up, you know, that, you know,

1 we have lights that are on, rent that's being paid,
2 salaries that are being paid, great studies that are
3 being done by folks like Frank and Perri and others.
4 And it was through our many interactions with them,
5 with them sharing with us a document that eventually
6 was leaked and became public in January on the
7 website, et cetera, that we actually based our
8 deliberations that led to the eight presumptions.
9 So the eight presumptions that have just taken
10 effect did not come from a National Academy study,
11 did not come from a charge that we gave to a
12 National Academy committee trying to stack the deck.
13 No, it actually came from exactly what you wanted,
14 Jerry, from our interacting with another government
15 agency inhouse, and that is a great way to go.

16 Now, that is a relationship, I think, that has
17 flourished. We've been challenged at times back and
18 forth about science and such, but I think we're
19 headed in the right direction. And so that's why I
20 mentioned earlier that we're looking forward to
21 additional input that they have for us.

22 Now, I haven't seen the latest updated Janey
23 Ensminger Act for 2017. It will get -- it will come
24 to us. It'll come to us in a formal way. I can
25 tell you that one of the concerns with last year's

1 legislation was that, if I remember correctly, and
2 I'd have to look this up, if I remember correctly,
3 it wasn't that we didn't like working with the
4 ATSDR; it's that the authority for making
5 presumptions was taken from the Secretary of the VA
6 and given to ATSDR, the way the language is written.
7 And I think that was the concern. I think we have a
8 track record now of working collegially,
9 collaboratively with ATSDR, as evidenced by the
10 eight presumptions that have just taken effect.

11 As long as I'm with VA -- but you're right;
12 we're all under scrutiny now -- but as long as I'm
13 with VA my intent is that we're going to have that
14 relationship flourish so we can update those lists
15 as new evidence becomes available. Right now we
16 don't have another National Academy of Science study
17 planned for Camp Lejeune. We've got this
18 relationship. We've got this link. But if the new
19 law were to try and, again, take the authority for
20 presumptions away from the Secretary, then maybe
21 again we're going to have some concerns about that,
22 but I haven't seen the new bill.

23 **MR. ENSMINGER:** Well, you know, your clinical
24 guidance report that came from the IOM cited end-
25 stage renal disease as, you know, a causation, and

1 so did ATSDR's report. And the excuse I got back
2 from my contact from Capitol Hill, VA's excuse for
3 not including kidney disease was because ATSDR's
4 report, at the time that they made these decisions,
5 hadn't been peer-reviewed. Well, it's been
6 peer-reviewed now, and your own IOM clinical
7 guidance says that end-stage renal disease, there is
8 evidence enough for causation.

9 **DR. ERICKSON:** Yeah, so we have more work to
10 do. You're right, in that there is a public facing
11 document at this point. There wasn't at the point
12 where we were making the presumptions. Jerry, you
13 had a second question or concern that you voiced
14 about SMEs, and I know that VA is almost out of time
15 here --

16 **DR. BREYSSE:** Yeah, I got a couple comments I
17 want to make sure we get in.

18 **DR. ERICKSON:** So just quickly, Alan, now, if
19 you wanted to address the SME program.

20 **DR. DINESMAN:** Yeah, let me quickly address the
21 SME program. First off, to answer Jerry's question,
22 this is not the only instance where we have SMEs.
23 And I can think of two right offhand that we have.
24 First one I can think of is for prisoner of war
25 claims. We have a specific group. And in fact I

1 believe there are specific training in SMEs that
2 must be present at each facility, both in the
3 compensation and pension side, as well as on the
4 treatment side. We also have -- for claims of
5 traumatic brain injury, we have specific guidance of
6 certain clinicians that must make the initial
7 diagnosis, and that is essentially the subject
8 matter experts, or the clinical experts, in that
9 field. So that's just an example of two other cases
10 where we do have SMEs available, so it is not
11 unusual.

12 As a quick update of what we're doing on the
13 SME side, though, we have met as a group and
14 discussed the presumptive diagnoses. We actually
15 discussed them well before the March 14th date.
16 We've made sure that everybody was aware of them,
17 understood the literature, also pointed out that it
18 was important to all groups to make sure that they
19 take into account and cite, if possible, the most
20 recent literature, and that is the information that
21 was published in the Federal Register with the
22 proposed rule as well as the most recent ATSDR
23 report. And so we did make sure that everybody is
24 up-to-date on that.

25 And we've also had the opportunity to talk to

1 the C&P field in general. I personally did the
2 talking, to make the folks out in the field -- this
3 is not the subject matter experts but everybody else
4 in the compensation and pension side, make them
5 aware of the presumptive diagnoses that were
6 announced. I also discussed with them the fact that
7 they need to not only establish the diagnosis, you
8 know, meaning that they go back and look at the
9 record and make sure that the diagnosis is correct,
10 but also, speaking to what Mike Partain mentioned,
11 and that is ask them to make sure that they also
12 look at residuals. And so that was a -- residual is
13 making, you know, things that are left over, for
14 example he was talking about peripheral neuropathy,
15 and so that is something that we have made the field
16 aware of.

17 **MR. ENSMINGER:** Well, I mean, if your subject
18 matter expert program is above the board, why is all
19 the resistance in providing the information about
20 how this thing was created and implemented? Because
21 nobody wants to give that up. We're having to -- we
22 had to file a lawsuit through Yale University law
23 school in federal court in Connecticut to get that
24 information. If it's above the board what the hell
25 you worried about?

1 **MR. FLOHR:** I was around when that was created,
2 and I can tell you it was after we started
3 processing claims in Louisville. And we sent a
4 group of people from VHA and VBA down to Louisville
5 to review the decisions that had been made. We
6 found some inconsistencies in decisions, which you
7 generally will do as one person versus another. And
8 we found enough that we thought, in order to be fair
9 to the Camp Lejeune veterans to make the best
10 decisions, that we have a group of occupational
11 environmental health specialists that could make
12 these decisions, and that's how it was born. There
13 was nothing secret about it.

14 **MR. ENSMINGER:** Yeah, but the problem is you've
15 got these so-called subject matter experts who are
16 doing nothing but reviewing papers about the
17 patient, and they are actually questioning the, the
18 attending specialist physicians of these veterans.
19 I'm sorry, that don't work. When you got an
20 oncologist that writes a letter and says, hey, it is
21 my professional evaluation that this person's cancer
22 was caused by exposure to toxins, or it's as likely
23 as not, how can somebody that's never even seen that
24 patient say, no, no, uh-uh, this is just your
25 belief. That's not right.

1 **DR. BREYSSE:** Thank you, Jerry, for reminding
2 us --

3 **MR. ENSMINGER:** What?

4 **DR. BREYSSE:** -- about your concerns.

5 **MR. PARTAIN:** Yeah, but it's also a document in
6 writing too. We get the record. They've got SMEs
7 writing back to these Board-certified oncologists
8 and professionals, asking them -- you know, saying
9 that it's just an opinion, and asking to justify
10 their letter, we're getting them back, and we're
11 hearing this back from the veterans. So you have --

12 **MR. ENSMINGER:** It's intimidation.

13 **MR. TEMPLETON:** And the last piece, real quick,
14 on the SME program you happened to say something,
15 Brad, and I do have to, to stop us here for a second
16 for the record on this is, you did happen to say, in
17 fairness to the veteran, and I think it's kind of
18 curious that you happened to say that because if we
19 look at the results of the SME program, we see an
20 approval rate going from 26 percent to below
21 5 percent. So I think the proof's in the pudding
22 there. There's something going on. And I hope
23 Dr. Dinesman can address that when he speaks with
24 the SMEs because I believe there -- in my opinion,
25 there's no E in the SME. That needs to happen. If

1 it's going to be there, it needs to happen. There
2 needs to be an expert in the subject matter expert.
3 What we're seeing in the credentials for these
4 people does not say that at all.

5 **DR. BREYSSE:** So if the VA wants to add
6 something -- if not, we can -- we can move on to
7 some of the other comments.

8 **DR. DINESMAN:** Well, the only thing I would add
9 is that I have not looked at those previous reports
10 that were by non-SMEs so it's hard to say whether or
11 not what were listed as approvals or denials back
12 then had substance to them, and so we're really kind
13 of comparing apples and oranges. You know, it is a
14 complex set of information. If it wasn't we
15 wouldn't be here. And so I think that, logically
16 speaking the idea that you have a group of people
17 who are aware or understand as much of the
18 literature that's available as they can, that it
19 would be beneficial for them to provide that
20 opinion.

21 Otherwise what we see -- what we've seen in the
22 past with the other programs is a lot of initial
23 providing an opinion of cannot say without mere
24 speculation, which I don't think is to anybody's
25 advantage. So again, I think having somebody who

1 has a handle on the literature is very helpful.

2 As far as the opinions, what you hear about the
3 opinions is that -- coming from the private sector,
4 prior to this -- I hate to put it in these terms,
5 but you can get an opinion from anybody, and they
6 will opine the way you ask them to in many cases.

7 **MR. PARTAIN:** But most of these doctors are
8 extremely reluctant to even write it down.

9 **DR. DINESMAN:** Sure.

10 **MR. PARTAIN:** And for them to go and write
11 this, and then the challenges that we're seeing:
12 Oh, this is just an opinion, go through and --
13 that's just ludicrous for you to say that.

14 **DR. DINESMAN:** But -- and, and I -- well, as a
15 specialist myself and having -- had to give, you
16 know, opinions for things, on the outside, not --
17 I'm not talking in VA necessarily -- whenever you
18 provide an opinion you have to provide a rationale.
19 And so depending on what that rationale that is
20 provided, I think, is just as important as the
21 opinion itself, and so if somebody were to provide
22 an opinion, just says, I am X specialist, and I
23 think this is the case just because I am a
24 specialist, I don't think that holds much water. On
25 the other hand, if the specialist does give a good

1 rationale, then I think it would be a lot better
2 supported.

3 **DR. BREYSSE:** Okay, so we're running out of
4 time, that's okay, it's a good discussion but I want
5 to make sure John gets to ask a question.

6 **MR. MCNEIL:** Thank you. I'm sorry, I -- I've
7 got sort of a small one. In the number of
8 dependents that were denied, Brady, you mentioned
9 104 where the vet didn't meet the definition of
10 veteran, which means that the dependent or, you
11 know, spouse, child, was denied, but the vet didn't
12 meet -- the veteran. Is that based on, you know,
13 during the -- the 70s was a tumultuous time for the
14 Marine Corps, so there could've been a larger
15 portion of people who had served there for 30 days,
16 their children, their wives. Are those the kind of
17 people we're talking about or like stolen valor
18 issues? You know, a big chicken dinner'll get you
19 no veteran status. If, you know, your family did
20 live there for ten years but if you got dishonorably
21 discharged or --

22 **MR. WHITE:** Yeah, that's primarily my
23 understanding is --

24 **MR. ENSMINGER:** Translation is conduct
25 discharge.

1 **MR. WHITE:** Yeah. Just because -- you know,
2 there's certain criteria that they need to meet to
3 be a honorably discharged veteran, and as long as
4 they've met all that criteria then the family
5 member, if we can meet the other things, would be
6 eligible. But, you know, it may not be fair, but if
7 the veteran was dishonorably discharged, per se,
8 even though the family member might have lived on
9 base they would not qualify for them to...

10 **MR. MCNEIL:** Okay. But is that what you're
11 talking about or is there something that might have
12 just --

13 **MR. WHITE:** No, that's primarily --

14 **MR. MCNEIL:** -- some random.

15 **MR. WHITE:** Some of it might also be, you know,
16 they might have been a Reservist or something like
17 that.

18 **DR. BREYSSE:** So Kevin, you haven't spoken yet,
19 and then Chris, and then we'll take a break.

20 **MR. WILKINS:** Kevin Wilkins. Brady, a few
21 weeks ago I made a suggestion that -- [electronic
22 meeting announcement interruption] Brady, a few
23 weeks ago I emailed a suggestion about using video
24 displays in VAMCs to spread the Camp Lejeune
25 information to both the employees and the veterans,

1 and I got kind of a curt answer from you that it was
2 being reviewed. What's the status?

3 **MR. WHITE:** Well, I don't agree with that
4 response, sir, in all due respect. We -- I shared
5 with you that we are looking into that, and I have
6 my communications sheet working with -- there's a
7 group that's kind of over the VAMC, that -- what
8 they can advertise on those TVs. So we have
9 approved the poster basically that you guys saw last
10 time, and that is going through the approval
11 process. I just asked him before I came here about
12 what the status is, and I've not heard back yet, but
13 that's, that's going forward.

14 **MR. WILKINS:** All right, thank you. Brad,
15 could you provide us with a copy of those training
16 materials that you sent out to regional offices?

17 **MR. FLOHR:** Yeah, I'll get back with that.
18 I'll see, 'cause I have not actually seen them
19 myself, but I'll see if we have any available.

20 **MR. WILKINS:** Thank you.

21 **DR. BREYSSE:** All right, Chris. Try and keep
22 it a little short so we can get back on time.

23 **MR. ORRIS:** So I have a couple of questions.
24 The first one is in regards to the priority rating.
25 Once a veteran is given presumptive disability does

1 their priority rating change or does it stay a
2 category 6?

3 **MR. WHITE:** I don't know the answer to that,
4 Chris.

5 **MR. ORRIS:** All right. If you can find that
6 out and get back to me I'd appreciate it. My second
7 question --

8 **DR. ERICKSON:** Chris, Chris, I think I might
9 have an answer. This is Loren. I think the degree
10 of disability, percent disability, actually can
11 change the category from 6 to a higher category.

12 **MR. ORRIS:** Thank you for that. My second
13 question is, gentlemen, I would like any single one
14 of you to cite anywhere in United States history
15 where the sins of the father affect the child in
16 whether or not they're eligible for benefits or not.
17 I'm in very -- I, I cannot believe what I just
18 heard. You're telling me that a parent who was
19 dishonorably discharged, a child who was exposed to
20 toxic water and is sick at Camp Lejeune is not
21 eligible for benefits. Please tell me anywhere else
22 in U.S. lexicon [sic] that that is a precedent.

23 **DR. ERICKSON:** Chris, I hear just exactly what
24 you're saying, and I'll give you a quick precedent
25 but give you more. For those of us that served in

1 the military on active duty, when something bad
2 would happen at times there was what was called a
3 line of duty investigation, and a line of duty
4 determination. And during my time of active duty
5 I'm certainly aware of families who did not get
6 benefits because the service member was outside of
7 line of duty when he or she got hurt or when he or
8 she was killed. I'm not saying that's fair; I'm
9 just saying that, that exists.

10 But more to the point I will tell you that our
11 Secretary has been in the news quite a bit lately,
12 as he is having us review what are called other than
13 honorable discharges, for the sake of seeing if
14 there's a way that we can open up healthcare more
15 broadly, because that has been a barrier. What you
16 bring up is -- has been an issue as a barrier for --
17 to healthcare for veterans who were other than
18 honorably discharged, and that's one thing that he's
19 looking at already, and I will just say that, as
20 you've brought up this issue here, and there are a
21 number of imbalances, not just this one, there's a
22 number of imbalances between veterans and family
23 members that need to be addressed. I very much
24 validate what you're bringing up.

25 **MR. ORRIS:** Yeah, I mean at no point did any of

1 those family members volunteer to drink toxic water.
2 And the relationship between what the veteran did
3 and the exposure of those family members doesn't
4 matter, and it shouldn't matter, and maybe that's
5 something the Congress needs to address because that
6 is very un-American.

7 **DR. BREYSSE:** All right, Mike, you get the end,
8 the last question.

9 **MR. ASHEY:** Yes, one quick question. This gets
10 back to qualifications for VA health benefits for
11 Camp Lejeune veterans. Back in the 70s the Marine
12 Corps did not do unit rotations. They did
13 individual rotations. And so if a Marine veteran
14 served at Camp Lejeune, say, from 1974 to 1975, was
15 there 12 months, was then transferred to Okinawa for
16 a year and was then transferred back and went to
17 Camp Pendleton and was discharged from Camp
18 Pendleton, and their DD-214 says they were
19 discharged from Camp Pendleton. How do they prove
20 they were at Camp Lejeune? How do you do that?

21 Do you -- I mean, 'cause the process that I
22 went through, all they looked at was what the DD-214
23 said, and if the DD-214 said Camp Lejeune,
24 apparently that was okay, pending, you know, the
25 disparity between what I wanted to do and what he

1 said to me, but what about other veterans who were
2 not discharged there, and I know I may be new to the
3 process but, you know, I've seen DD-214s from guys
4 that were discharged from Camp Pendleton who I know
5 were at Camp Lejeune.

6 **MR. WHITE:** Yeah, I don't think it's from the
7 DD-214, but my understanding is there's other
8 records that would show --

9 **MR. FLOHR:** Personnel records.

10 **MR. ASHEY:** Okay. So they don't have to show
11 they were in a barracks or anything that -- or a
12 letter that the VA looks at their, their records.

13 **MR. WHITE:** No, in their records, their
14 personnel records.

15 **MR. FLOHR:** In the DD-1141, the personnel
16 records, everywhere they were ever --

17 **MR. ASHEY:** Okay, thank you.

18 **DR. BREYSSE:** All right, so it's time for a
19 break. Why don't we break 'til 10:30 -- 10:40,
20 sorry. So 10:40, and then come back and pick up
21 again from where we left off.

22 [Break, 10:28 till 10:40 a.m.]

23
24 **ACTION ITEMS FROM PREVIOUS CAP MEETING**

25 **DR. BREYSSE:** All right, Jamie.

1 **MS. MUTTER:** Okay, everyone, take a seat.
2 We're going to continue on with our agenda and the
3 action items. Okay, so the first action item is for
4 the VA, and it says: The CAP asked the VA to make a
5 commitment that they will provide veteran the name
6 of the SME who worked on claims that have been
7 denied.

8 **DR. DINESMAN:** This is Alan. I can answer
9 that. While we cannot give out the names of, you
10 know, individual employees in the VA, what the
11 veteran has the ability to do is, when they get
12 their notice that the claim has been adjudicated,
13 they can get copies of their records, and that copy
14 of the record should include the examination for it,
15 and I think being able to review the report itself,
16 as people have said, is actually more beneficial
17 than just having the name.

18 **MS. MUTTER:** Okay. Any questions?

19 **MR. WILKINS:** That report's not readily
20 available unless you go look at the file, is it?

21 **DR. DINESMAN:** My understanding is once you --
22 once the claim has been adjudicated you -- yes, you
23 can get the information from your file.

24 **MR. WILKINS:** Well, that's -- I went to the
25 local regional office to look at mine, and they

1 wouldn't show it to me.

2 **DR. DINESMAN:** I, I can't speak to --

3 **MR. WILKINS:** That's your man, Bob Clay.

4 **DR. DINESMAN:** Well, the VHA side, it's never
5 used to make. I can't speak for what VBA really --

6 **MR. WILKINS:** But that's where the folder is.

7 **MR. FLOHR:** Hey, Kevin, I'll check
8 with (unintelligible).

9 **MR. TEMPLETON:** What I've seen is the SME's
10 opinion or -- whether SME or not -- is available
11 through The Healthy Vet, the online portal, if you
12 actually download the blue button record, but
13 outside of that -- and if you didn't know that -- if
14 people didn't know that then they don't know that --

15 **MS. CORAZZA:** And that's only for premium.

16 **MR. TEMPLETON:** And right. And basically when
17 you -- when they send you the denial the opinion is
18 not (unintelligible).

19 **MS. MUTTER:** Okay. We will move on to the next
20 action item. It's for the DoD. The CAP requested
21 that duplicate documents in the soil vapor intrusion
22 document library also be released by placing them in
23 a separate electronic folder.

24 **MS. FORREST:** This is Melissa Forrest for
25 Department of Navy. Duplicate documents were

1 removed from the document library compiled by ATSDR
2 in the soil vapor intrusion assessment in the
3 interest of efficiency and version control and in
4 accordance with the rules under FOIA. These
5 duplicate documents will not be reanalyzed by the
6 Department of Navy or U.S. Marine Corps for a
7 duplicate release.

8 ATSDR has provided the CAP with a FOIA analyzed
9 copy of each document that has a duplicate. It is
10 our understanding that ATSDR will be providing the
11 CAP with a presentation on the document library they
12 compiled for the soil vapor intrusion assessment as
13 well as data extraction efforts. Following the
14 presentation, if there are any additional questions
15 related to the documents reviewed and released we
16 can address them at that time.

17 **MR. TEMPLETON:** So we're not going to get any
18 of the previous versions -- revisions of those
19 documents?

20 **MS. FORREST:** You're not going to get -- what
21 was removed as a duplicate copy is not going to be
22 re-reviewed and released. You have a --

23 **MR. TEMPLETON:** Can they cite the particular
24 part of the FOIA Act -- and especially make sure to
25 be looking at the current FOIA Act because it has

1 been revised within the last legislative session?
2 If they can provide the specific ground, legal
3 ground, that they stand on on not providing those
4 documents.

5 **MS. FORREST:** I'll take that back.

6 **MS. MUTTER:** Okay, thank you. The next action
7 item is for the CAP. The VA requested that the CAP
8 provide a justification showing a specific need that
9 an ombudsman would address.

10 **MS. CORAZZA:** Brad Flohr is the point of
11 contact. He's the ombudsman filling that role.

12 **MS. MUTTER:** Thank you. Okay, the next action
13 item is for the CAP as well. Ken Cantor will
14 provide the CAP with language they can use to
15 request a national cancer registry from our
16 Congressional representatives. Okay, we'll follow
17 up with Ken.

18 The next one is for ATSDR. Follow up with the
19 U.S. Marine Corps regarding the PHA recommendation
20 to run tap water for one to two minutes prior to
21 drinking because of lead. Check if that information
22 is communicated to current base residents and
23 employees.

24 **MR. GILLIG:** Rick Gillig, ATSDR. That
25 information has been posted on the website. There

1 are three different fact sheets, very easy to find.

2 **MS. MUTTER:** Thank you. The next action item
3 is also for ATSDR. The CAP asked ATSDR to request
4 that U.S. Marine Corps send the updated PHA out to
5 everyone in a notification database. We've been
6 notified that PHA fact sheet and a cover letter has
7 started going out as of this past Monday. Will go
8 out in batches over the next two months until
9 completed. Any questions on any of the action items
10 before we move on with the agenda? Okay, with that,
11 I'll ask the next item is the public health
12 assessment updates and soil vapor intrusion.

13
14 **PUBLIC HEALTH ASSESSMENT UPDATES - SOIL VAPOR INTRUSION**

15 **MR. GILLIG:** So I'm going to ask Lieutenant
16 Commander Fletcher and Lieutenant Gooch to step
17 forward. We've got a presentation.

18 We've been working on the soil vapor intrusion
19 project for several years. We presented in a
20 working meeting back in 2014. We kind of outlined
21 the process we would use in collecting the
22 information and how we would analyze that data.

23 So what we have today, we completed the
24 collection of the information that we'll analyze for
25 soil vapor intrusion. What we have today is a

1 presentation that kind of summarizes the process we
2 went through to collect the documents, how we looked
3 through those documents and pulled out information
4 and compiled it into the database. We also have
5 some information about the quality assurance control
6 in the information we pulled out of the documents.
7 So we've completed that phase of the project. What
8 we'll do next is we'll analyze the data. So Chris
9 and James, if you could go through the presentation.

10 **LCDR. FLETCHER:** All right, good morning. Most
11 of you probably recognize me. I've been here a few
12 times before to talk about some of this. So today,
13 though I left the project and moved to a different
14 office here at ATSDR/NCEH back in August, I've come
15 back just to provide some of the initial detail,
16 some of the nitty-gritty stuff, about the beginning
17 of our document discovery process, because it was
18 pretty detailed.

19 So here is just a quick overview slide that
20 shows the six basic steps of our process, how we
21 went through discovering the documents, processing
22 those, searching them, pulling the data out,
23 ensuring we knew the location of sample points by
24 geo-referencing them, and then getting them to the
25 database development.

1 So you've seen this slide before. This is one
2 I developed a couple years ago, just to kind of
3 illustrate the complexity of the data and where it
4 originated. You can see by the -- so first, the
5 size of the circles doesn't really indicate the size
6 of the database or the number of documents. It was
7 really just kind of created so I could fit the title
8 in and show the relationship to each other more so
9 than the size of the data. So this slide hasn't
10 changed since the original presentation, so you guys
11 are familiar with it, and I think you've got printed
12 copies of it.

13 But as you can see, the colors indicate the
14 sources. So the light green mostly is Marine Corps,
15 DON, and certainly the OD document, which is gray.
16 I'm not sure why I made that gray. We also looked
17 through the state databases, EPA's database, all of
18 ATSDR files. We received files from you guys, the
19 petitioner, the CAP. Y'all gave us a significant
20 amount as well. We looked at the fire department on
21 base for 911 call center information. We looked at
22 the naval hospital industrial hygiene database as
23 well, so we really left no stone unturned when we
24 looked for any data or any document that may have
25 relevant sampling data that we could use in our

1 investigation.

2 So the way we processed it -- so because things
3 came from so -- documents came from so many
4 different libraries and sources they were in
5 multiple formats. So the first thing we did was
6 converted everything to a PDF file, which is just a
7 generic type of document file, just to standardize
8 everything. And then we bought software from a
9 company called CVision. The software is actually
10 called PDF compressor, and that does a couple of
11 things. One, it compresses the file so it makes the
12 digital footprint smaller, to help us save space on
13 our end; and two, at the same time it can do an
14 optical character recognition conversion to the
15 document. What that allowed us to do is to then use
16 keyword searches to search the entire set of
17 documents, so we could look for specific things
18 directing our attention from tens of thousands of
19 documents hopefully to a smaller amount of
20 documents, which we'll get to in just a moment.

21 So once we converted everything to PDF, once we
22 went through and OCR-scanned all of those, then we
23 went through a process of removing the duplicates.
24 We used metadata to do that. You can see the list
25 here of kind of what we did, some of the details,

1 which was extremely helpful and removed almost
2 17,000 documents.

3 And then, once that was done, we tasked one
4 poor soul with looking at them side-by-side,
5 visually comparing every document and every page,
6 where we found another 9,200 documents that were
7 identical duplicates, just electronically titled
8 different. So each database of the 16 data sources
9 each had their own nomenclature system for document
10 titles, which made it extremely difficult and
11 resource-intensive to sort all of that out, which is
12 why we made the file index, which one of us will
13 discuss here in a minute.

14 So here's a little bit about the numbers on
15 that. So initially we started with about 70,000
16 document titles. We got those from reviewing the
17 indices from these different sources, where it was
18 available. So not every source had an index that we
19 could look at. Some sources, like the 911 call
20 center, and I think it was the industrial hygiene
21 database as well, at Camp Lejeune, I was provided,
22 granted access, to do a search on those, but the
23 database itself did not have an index we could
24 export, so we used our stated keywords, that you'll
25 see later, in those databases to search for

1 documents. So that's where we have about 70,000.
2 The reason it's an approximation, and that's where
3 70,000 comes from. At no point did we ever have
4 70,000 files.

5 So from those indices we used keyword searches
6 on the index itself. Then we had Dr. Tonia Burk,
7 ATSDR's vapor intrusion subject matter expert, go
8 through and review any file name that was not
9 identified by a keyword. Then I did the same. And
10 then we had Captain Alan Parham also do the same,
11 just so we had a third set of eyes to help us look
12 at any document title that may contain data.
13 Whether or not the title had anything to do with
14 vapor intrusion was almost irrelevant. It was --
15 though we were looking for that, we were also
16 looking for any sampling document, any document that
17 could contain data that we could find useful, and we
18 requested all of those. And that's where the
19 40,146 number comes from. So from all of our
20 sources, that's everything we gathered.

21 So as you can see on this slide here, we pulled
22 16,000, almost 17,000, documents were removed in the
23 duplicate process. And as you can see,
24 approximately 15,000 of those were from you guys,
25 from the CAP. So the difference between what we

1 actually found and what is on the FTP site now and
2 what we had originally, so this is what you guys
3 were just asking for a moment ago as a follow-up
4 item, most of those were your documents. And what
5 you provided to us we found a few unique documents,
6 but most everything you gave us was a duplicate of
7 what we already had, but your version had been
8 redacted in most cases. So we just pulled those
9 back out. But we did make sure anything unique you
10 provided to us was incorporated.

11 As you can see there was a few duplicates from
12 EPA. Most of those were duplicates with files that
13 were obtained from the North Carolina DENR database.
14 And all North Carolina DENR database, because all of
15 those are out in public domain, when we discussed
16 this a long time ago with the Navy, we all agreed
17 just to put those right on our FTP as is. And so
18 those are available. Then 128 from other sources
19 which were mostly ATSDR versions between the data
20 mining and technical work group documents and other
21 ATSDR in-house documents, so we just pulled those as
22 well.

23 So -- and then we stepped in again to the
24 manual process, the side-by-side comparison. This
25 guy had two monitors on his desk, and went for about

1 three months or so just looking at files every day.
2 So we really appreciate his efforts 'cause obviously
3 it did pull a lot of documents out. And got us down
4 ultimately to just under 14,000 unique documents
5 identified, which is still a mountain of documents.
6 But what we were facing initially, it was quite a
7 reduction.

8 So our keyword search -- so here's the keyword
9 searches that we used. You can see that it
10 identified about 4,200 files. And then we list
11 below it the number of unique files, and the reason
12 we did that was, when we initially did the keyword
13 searches we weren't done with the document duplicate
14 from identification and removal yet. We were kind
15 of really putting the cart before the horse, or at
16 least next to it in most cases. So after duplicates
17 were removed we had about half a million pages of
18 data that we needed to review manually, and that is
19 to have a human look at it, so we brought in some
20 contractors to help us look at those pages and
21 extract the data where it was appropriate.

22 So, oh, and this is a discrepancy. We just
23 noticed this this morning. So you guys, on the
24 printed copy you have you'll see that we have
25 2,088 documents returned in our keyword search. On

1 the previous slide we have a typo which shows 2,026.
2 We want to be clear and transparent on this, and
3 make sure that the printed version that you have,
4 when you see this, we aren't trying to hide
5 anything; it's just a typo. This should be 2,088.

6 So of those 2,088 documents we found 946 of
7 them have actual data for us to extract. So I --
8 you know, I think what this shows is a pretty
9 impressive, well-thought-out process, to go through
10 and analyze many, many documents, and I think our
11 initial assessment with the 40,000 documents was a
12 little over two million pages. So we go from two
13 million pages in 40,000 documents down to 946.
14 That's our best focus using computers and modern
15 technology to really help us get through that
16 instead of decades with a human to read it all. I
17 think we did pretty good getting it down to a
18 managing work load.

19 So we extracted the data from those and ended
20 up with just over a million sample data points from
21 those 900 documents. That's in addition to several
22 other million data points that we obtained
23 electronically, in Excel files and Access databases,
24 directly from the Marines, so it's a pretty sizable
25 database.

1 And you can see how many staff members. It
2 took us over a year's worth of labor to do that.
3 And the process was a very linear process. We
4 assigned the documents; somebody went through and
5 reviewed them, kind of made themselves familiar with
6 it, pulled the data out that were pertinent, and
7 then just passed on for a QA/QC check after that, to
8 make sure that any mistakes were caught before it
9 was loaded into the database.

10 And then at that point we realized we had quite
11 a bit of data that were without -- it was a data
12 sample without location data, so we realized at that
13 point we needed to create the geo-reference process,
14 and Lieutenant Gooch is going to take over here.

15 This started about last August, when we got the
16 geo-referencing, which is the time where I departed
17 from that point. So Lieutenant Gooch took over at
18 that point.

19 **LT. GOOCH:** Thank you, sir. Good morning.
20 This is Lieutenant Gooch, and thank Lieutenant
21 Commander Fletcher for that. I'll finish out.
22 There's two processes I'm just going to identify.
23 The one, as was mentioned, is the geo-referencing.
24 That's what we're calling it. Essentially we need
25 to have a location for our viable data; otherwise we

1 cannot establish an exposure pathway. So the order
2 of preference is listed here. We deferred to the
3 sample coordinates provided in the document, first
4 and foremost, if at all and when it was provided,
5 and that would be latitude/longitude,
6 easting/northing. When it wasn't available we then
7 would cross reference to the Navy, to what they had
8 available in their database. When that was not
9 available we did a manual geo-reference, which I'm
10 going to go into in greater detail in the next
11 slide. And then fourth, what we then did if we
12 couldn't find at any of those locations or even make
13 a manual reference, we then would match on sample
14 IDs, and there's two examples there. This is to say
15 we matched on an exact match on a sample ID from one
16 report to another sample ID from another report, or
17 we did an exact match, or what we called an inexact
18 match, where some portion of the sample ID was
19 different.

20 And for those of us that have not done
21 environmental data sampling, typically, when you
22 take a sample you assign it some categorical value
23 to help you associate with it. So in this case this
24 example, IR06 is a site or an operational unit.
25 GW02 would be a well, groundwater well, and the 00A

1 would be the year, the last two digits of the year,
2 plus A for the first quarter. So A, B, C, D, the
3 four quarters. And that's kind of how we made that
4 assumption. So in the inexact match that would be
5 two different years basically for the same well.

6 And if no other match was available for
7 location data we then would just use the structure
8 ID that was provided in a document, and if that
9 wasn't available we would go to a site ID. So much,
10 much lower resolution, much more difficult from a
11 spatial standpoint.

12 So in terms of that manual process -- this is a
13 big slide so I'm going to walk you through it, and I
14 had an intern put this together for us too. It was
15 part of the process. And essentially we start with
16 the database on the far left of the screen. That is
17 the environmental extracted database for the
18 documents. Our technician, or our geo-referencer,
19 we were calling them, would then open the document
20 associated with that reference point, along with the
21 row of data that was extracted. Using the visual
22 reference in the document along with a written
23 description and the original document, whatever
24 other contents we had, we would then manually make a
25 placement of that data point. And sometimes it was

1 literally a hand-drawn map that we were then
2 referencing to a satellite image. And our team here
3 at ATSDR graphs helped us put together a browser
4 interface that allowed us to transpose from the PDF
5 to the database to reassign that, and that's what
6 that last image here is showing is the PDF on the
7 bottom transposed with the browser, like in Internet
8 Explorer or Firefox, where you then would place it
9 and then record it as the new database. And we did
10 that about 30,000 times. It took about one to two
11 minutes per data point, so it was again manually
12 intensive.

13 Following the georeferenced location data, that
14 we did the correction there, we then uploaded our
15 information into what we call a SQL Server.
16 Essentially this is a very large database for
17 matching a data set of this size. We batched the
18 files into the SQL Server as they were finished, and
19 quality assured and quality controlled. And then we
20 did two things. We scrubbed this data and we
21 standardized this data. And when I say scrub, I
22 mean to say that we fixed data entry issues. You
23 can imagine a million data points being manually
24 entered, there were some entry issues along the way.
25 So we would review those fields and look for entry

1 issues. We would also look for extraction
2 protocols, which is to say to make sure that, say, a
3 result value was in the result value column and not
4 in a different column, which did happen from time to
5 time.

6 We also confirmed errors with source documents.
7 The charge that was given by Lieutenant Commander
8 Fletcher, originally, for our contractors was to not
9 make any assumptions, was to extract verbatim from
10 the documents that were provided. And sometimes
11 that verbatim was incorrect. Sometimes there was
12 spelling issues. So in cases when we saw that there
13 was errors, we actually opened the document and re-
14 viewed it one more time, just to make sure that
15 the data entry was done incorrectly correctly, if
16 that makes sense.

17 And then we did the standardize for the data,
18 and this was for consistency purposes. To do the
19 analysis we needed to make sure we had consistency
20 across -- there's literally 64 different fields or
21 columns of data, and we standardized for numeric
22 values as well as for categorical values. So a
23 numeric value, just to make sure that the result
24 values that were in fact numbers. There wasn't a
25 greater than symbol or a plus symbol or a minus

1 symbol, that kind of thing.

2 We also looked at categorical values, and this
3 was specific interests to the ones identified here.
4 Contaminant, we, for example, had like six different
5 versions of the spelling of benzene, that we had to
6 then correct to just one version of the spelling of
7 benzene. So a lot of it was just making sure that
8 this database was standardized throughout.

9 And I'm happy to report that, as of the end of
10 January, we have now this database, and we've begun
11 now scoping and looking at descriptive statistics
12 and starting to get the process of analysis going.
13 So we'd be happy to take questions on this
14 presentation and the processes identified therein.

15 **UNIDENTIFIED SPEAKER:** Am I allowed to ask a
16 question?

17 **MR. FLETCHER:** Dr. Breysse, can we have a
18 question from the audience? It's fine with me.

19 **DR. BREYSSE:** I think we should open it up to
20 the CAP first.

21 **MR. FLETCHER:** Okay.

22 **MR. ASHEY:** Morning, Mike Ashey, a couple
23 questions. Could you go back to your bubble slide,
24 please? The one that -- where you looked at all of
25 your data. I notice you've got underground storage

1 tank (UST) portal. Was there an AST portal?

2 **MR. FLETCHER:** No, sir.

3 **MR. ASHEY:** Or is AST included in UST?

4 **MR. FLETCHER:** No, they -- that's been four
5 years ago. If I remember right, any AST stuff they
6 had mixed in with the UST portal.

7 **MR. ASHEY:** Right, so it was all kind of thrown
8 in together?

9 **MR. FLETCHER:** Yeah, just a general storage
10 tank, if I remember correctly. But to quote me on
11 that I'd need to go reference my email.

12 **MR. ASHEY:** Okay, for your -- for the Camp
13 Lejeune base safety database reports and the fire
14 department reports, in reference to your keyword
15 searches, the proverbial canary in the cage is
16 usually for vapor intrusion when a human smells fuel
17 vapors or gas vapors.

18 **MR. FLETCHER:** Yes, sir.

19 **MR. ASHEY:** Did you include as part of your
20 keyword search those phrases and either the data --
21 or the base safety database or the fire department
22 database? 'Cause that would usually indicate where
23 you've got a vapor intrusion issue.

24 **MR. FLETCHER:** Yes, sir. We looked for any
25 calls in the base safety database where they

1 received a call. So the base safety unit, my
2 understanding is, and I -- is that anybody that has
3 a concern on base, if they smell something in their
4 office that they think may be an issue, they call
5 base safety, and base safety kind of handles it from
6 there, whether they call in a different unit for
7 sampling or they go over and sample it themselves.
8 So I searched their database for any calls that had
9 anything to do with fumes or gases. In fact I used
10 a lot more keywords than are included in this
11 presentation. But yes, --

12 **MR. ASHEY:** Okay, so, so for your -- your
13 keyword searches included fumes, smelled gas, those
14 kind of --

15 **MR. FLETCHER:** I did.

16 **MR. ASHEY:** -- common person statements that
17 would be to a fire marshal, you put that in your
18 report.

19 **MR. FLETCHER:** For the base safety database.
20 So on the fire department database, that is a 911
21 call system. And we got into this, I think, a
22 couple years ago, and I can't -- we were discussing
23 this. The fire department database is a 911 -- what
24 they have is a 911 call center that they base three
25 years of records. Anything prior to three years ago

1 is destroyed per their document retention policy.
2 Anything prior to that they -- there was a -- you
3 know, prior to that there was some sort of
4 antiquated system, that was explained to me was
5 antiquated, and nobody could access the data in that
6 any longer, and they weren't even sure it still
7 existed. We covered this two years ago, I think, or
8 more.

9 **MR. GILLIG:** In 2014, yes.

10 **MR. FLETCHER:** 2014? Yeah, we discussed it
11 then.

12 **MR. ASHEY:** Okay. Well, I'm sorry, this is my
13 first so I'm sorry.

14 **MR. FLETCHER:** Yeah, no worries.

15 **MR. ASHEY:** So you're saying fire department
16 fire marshal records at Camp Lejeune had a three-
17 year retention.

18 **MR. FLETCHER:** That's, that's their current
19 policy with the system they have in place now.

20 **MR. ASHEY:** Okay. But that's --

21 **MR. FLETCHER:** As I understand it, but if you
22 want more detail than that we'll have to send it
23 back to the Navy.

24 **MR. ASHEY:** Well, I guess my question is back
25 if the 70s they probably had the same retention, so

1 it was three years, so there would be no way to know
2 if somebody had reported smelling fumes in a
3 building that the fire marshal --

4 **MR. FLETCHER:** That's the way it was explained
5 to me when I was on base talking with them
6 in-person, asking for these records, yes, sir.

7 **MR. ASHEY:** Okay.

8 **MR. FLETCHER:** So prior to three years ago
9 the -- or maybe six or seven years ago now, but
10 whenever they started using this current system,
11 apparently there was some historic system that --
12 I'm guessing was DOS-based, the way they spoke about
13 it, but I -- for specifics, again, you'd need to
14 address the Department of Navy for that.

15 **MR. ASHEY:** So you don't have any canary in the
16 cage data from the 60s or the 70s because of that
17 reason -- or even the 80s or the 90s because of
18 that --

19 **MR. FLETCHER:** I have no data from the fire
20 department from those decades, sir.

21 **MR. ASHEY:** Okay, thank you.

22 **MR. FLETCHER:** You're welcome. So and to
23 further elaborate on that, when I talked with them
24 about -- the fire department about issues, they said
25 most of the time, when they get a phone call, it's

1 generally from somebody who's just pulled into the
2 garage in winter, shut the garage door behind them
3 and left the garage door to the house open while
4 they take the groceries in, and they smell fumes.
5 And so they get concerned and call. So that's what
6 it sounds like they deal with now, when it comes to
7 residences. But again, for more detailed
8 information you'd have to reach out to the Navy.

9 **DR. BREYSSE:** Tim?

10 **MR. TEMPLETON:** Thank you. Tim Templeton, and
11 thanks for the presentation; it was really good. I
12 happened to have looked through some of the
13 documents that were in the ^ database, so far not --
14 of course not all of them, because we just got them
15 recently. But in doing that I happened to see a
16 document that was from industrial hygiene, and it
17 was dealing with the buildings around 1101 and 1102,
18 and it was about '99-2000-ish. In fact the
19 documents stand as longer than that, but in this
20 particular period, from industrial hygiene, I
21 happened to see something, and then I happened to --
22 to see here that you were talking about conversions,
23 or some of the units, matching up some of the units,
24 and I saw one that had appeared that the units were
25 missed, because what they had described was

1 nanograms per liter. And then off to the side of
2 that they said parts per million, and I didn't think
3 that that was right. Did you happen to see that
4 when you were looking for your -- looking at the
5 units?

6 **LT. GOOCH:** Yeah, I can't speak to the --
7 right, I can't speak to the direct document you were
8 looking at, but we did see lots of different units.
9 There were some that were like per tube. It was
10 like -- I mean, there was just some very strange
11 units. And we did the best we could in terms of
12 converting some of those units. What we did with
13 our database though is we did retain all the data
14 that's on it. We dealt with that data, and it's
15 still there. It just might be that the units are
16 kind of not that certain, and I think our process is
17 really going to be to look spatially and look at the
18 buildings. And at some point I might come back to
19 some of those places and see if there's -- if we can
20 dig or if we can figure out. And in many cases that
21 data may even be duplicate to the industrial hygiene
22 database that we have as well. So it's on a kind of
23 a case-by-case basis with the data.

24 **MR. TEMPLETON:** Great, thank you.

25 **MR. FLETCHER:** And another comment about that

1 is, you know, if we were referring back to what we
2 had our contractors do, if there was printed, typed
3 information and handwritten we defaulted to what was
4 printed in the document, assuming that anyone
5 could've come along and written anything
6 inaccurately on the report. So if you saw two we
7 went with what was printed.

8 **MR. TEMPLETON:** Thank you.

9 **MR. ASHEY:** Mike Ashey. With respect to the
10 fire department and fire marshals who may have
11 worked at Camp Lejeune, fire marshals are pretty
12 conscientious guys, and it could be that they may
13 have kept copies of the records. Back then they
14 used carbon paper to make those reports. I just
15 kind of throw this out there. If we knew the names
16 of the retired personnel that worked at the fire
17 department, specifically the fire marshals, at Camp
18 Lejeune, we may be able to reach out to them and
19 find out if individually if they have records from
20 back then.

21 **MR. ENSMINGER:** Chief Padgett.

22 **MR. ASHEY:** Is he still alive?

23 **MR. ENSMINGER:** Yeah, I talk to him.

24 **MR. ASHEY:** He may have those records.

25 **DR. BREYSSE:** Good suggestion. Chris?

1 **MR. ORRIS:** Thank you. Good to see you again,
2 Chris. Thank you for this good presentation. Quick
3 question on regards to the final data documents.
4 What is the end date of the documents that you're
5 processing here?

6 **MR. FLETCHER:** So the line we drew in the sand
7 was for June-July in 2013. That's when -- and we
8 chose that because that was kind of the date, I
9 think, when we officially -- ATSDR officially wrote
10 the letter officially asking for records and access
11 to records from the Navy and Camp Lejeune. So we
12 said, you know, that's enough.

13 Now, since then, even though that is our hard
14 line, I think Dr. Mark Evans, who has since retired,
15 when he was starting to do some preliminary
16 investigation he may have gotten a few updates since
17 then, so there are a couple beyond that date, but
18 that was the official hey-we're-stopping-here date.

19 **MR. ORRIS:** So correct me if I'm wrong, but I
20 mean, there's active vapor intrusion mediation going
21 on in certain buildings at Camp Lejeune right now?

22 **MR. FLETCHER:** That's correct.

23 **MR. ORRIS:** Okay. And of those active
24 mediations, did the Department of Navy give you any
25 difficulty in obtaining any of that --

1 **MR. FLETCHER:** No, absolutely not.

2 **MR. ORRIS:** -- material? Okay, thank you.

3 **MR. FLETCHER:** No, they were happy to share all
4 that data with us.

5 **MR. ENSMINGER:** I'll bet.

6 **MR. ORRIS:** And just to throw it out there, I
7 know this was just document discovery, did you
8 happen to see any figures above two micrograms per
9 cubic meters of air of TCE exposure in any of their
10 active mediations?

11 **MR. FLETCHER:** I wasn't focused so much on the
12 quantities while we were looking at it because we
13 just had such a large amount of data. We were
14 focused on getting all the numbers into one usable,
15 reviewable, accurate database.

16 **MR. ORRIS:** Okay.

17 **MR. FLETCHER:** So I didn't notice any.

18 **MR. ORRIS:** All right, thank you.

19 **DR. BREYSSE:** Jerry?

20 **MR. ENSMINGER:** Just for the record, is ATSDR's
21 folks that are working on this part of the public
22 health assessment, are you working independent of
23 the Department of the Navy?

24 **MR. GILLIG:** The Department of the Navy has a
25 contractor who did a lot of investigations in the --

1 on the soil vapor intrusion, Chris Lutes, and we do
2 talk to him periodically.

3 **MR. ENSMINGER:** I'm talking about your findings
4 and --

5 **MR. GILLIG:** Our analysis is independent of the
6 Navy.

7 **MR. ENSMINGER:** Okay. And the reason I'm
8 asking that is because when Dr. Clapp, who's now
9 working with another CAP, has put back some of those
10 CAP members in touch with me, and ATSDR is providing
11 information to a DoD entity at this other CAP at
12 this other contamination site, and, you know, we
13 went through this battle before with ATSDR about
14 providing draft documents to the Department of the
15 Navy or whoever, and, you know, I thought we had
16 this cleared up, but evidently somebody's back-
17 sliding. So I just want to make sure that this
18 public health assessment will not be viewed by
19 anybody unless all of us can see it. Can you assure
20 me of that, Dr. Breysse?

21 **DR. BREYSSE:** That's been our policy? I'm
22 looking at Rick.

23 **MR. GILLIG:** That has been our policy, yes.

24 **DR. BREYSSE:** So if that's been our policy that
25 would be our policy going forward.

1 **MR. MCNEIL:** Real quick, let me ask you
2 about -- John McNeil -- the Camp Lejeune fire
3 department documents, you said they were on a DOS
4 system.

5 **MR. FLETCHER:** I'm making an assumption.

6 **MR. MCNEIL:** Or I mean or some system.

7 **MR. FLETCHER:** The only thing they said was it
8 was so antiquated that they didn't have a computer
9 that could access it anymore. That was what I was
10 told.

11 **MR. MCNEIL:** The fire department.

12 **MR. FLETCHER:** Yes, sir.

13 **MR. MCNEIL:** Okay. Is that data system secured
14 so that, in the event someone wanted to analyze it,
15 it could be analyzed? Because we're not talking
16 about hieroglyphics.

17 **MR. FLETCHER:** I asked for access to it. I
18 said, you know, okay, I realize it's antiquated but
19 can I even get access to it, and the answer was that
20 they weren't sure where it was, if I remember
21 correctly, but again, this is two or three years ago
22 at least.

23 **MR. MCNEIL:** Okay.

24 **MR. FLETCHER:** For a specific answer on that I
25 think it needs to be directed back to the Department

1 of the Navy for an accurate answer of the current
2 state of their antiquated fire department data.

3 **MR. MCNEIL:** Okay. And a follow-up to that,
4 were there any other databases that you were unable
5 to access?

6 **MR. FLETCHER:** No, sir. Just that was the only
7 one that I couldn't get to. And technically I had
8 access to the current database. It was just their
9 previous, their historic, data --

10 **MR. MCNEIL:** Right, right, right.

11 **MR. FLETCHER:** -- that I could not access.

12 **MR. MCNEIL:** Got that. Okay, thank you.

13 **MR. ORRIS:** So Rick, this question's for you.
14 We've kind of circled around this a couple of times.
15 I'm just going to throw this back out there now. At
16 any time in any of the literature that you're
17 looking at from 1987 to the present, have you
18 identified any buildings where there might have been
19 two micrograms per cubic meter of TCE exposure since
20 1987? I know you're still in your preliminary.
21 We've circled around this, and before, we've had
22 this argument about industrial exposure as opposed
23 to residential exposure. We can move beyond that
24 now because we've got a definitive two micrograms
25 per cubic meter of air for TCE exposure. Have you

1 seen that in any of the documents so far?

2 **MR. GILLIG:** Chris, to my knowledge we haven't
3 seen that, but honestly, we haven't done a full
4 analysis of the database. My fear is that, if we
5 start looking at specific issues like that, we'll
6 never get our analysis done. So as we go through it
7 obviously we'll be looking for that.

8 **MR. ORRIS:** Thank you, Rick.

9 **DR. BREYSSE:** Any other questions on vapor
10 intrusion?

11 **MR. ASHEY:** Just to clarify what Jerry was
12 asking, all draft documents will be peer-reviewed
13 jointly by the committee and not peer-reviewed by
14 anybody else and before the committee sees the draft
15 documents? That's what Jerry's asking, right?

16 **MR. GILLIG:** Just as with the drinking water
17 public health assessment, which we released before
18 you were a member of the CAP, we sent it out to the
19 CAP. We also sent it to the Navy at that same time.

20 **MR. ASHEY:** Simultaneously.

21 **MR. GILLIG:** Simultaneously.

22 **MR. ASHEY:** Okay, thank you.

23 **MS. MUTTER:** Okay, any other questions for...

24 **MR. FLETCHER:** Your audience member in the
25 back, I believe.

1 **MR. KIMLEY:** I'm Jim Kimley. I was in Lejeune
2 '81-'82. Your database conversion, and scrubbing
3 and tweaking, and not -- and don't take offense to
4 my terminology, but I understand the gist of
5 everything you've done. When you get to your SQL
6 database, and you have your final data points, do
7 you have a reference back to the original document?

8 **MR. GILLIG:** Yes.

9 **MR. KIMLEY:** Okay. So you're able to say, yes,
10 this came from here.

11 **MR. GILLIG:** Correct.

12 **MR. KIMLEY:** Okay. My last question about
13 that, or the database: Did the documents that were
14 excluded by the electronic searching software, was
15 there any manual checking to validate you weren't
16 missing anything?

17 **MR. FLETCHER:** So yes. So the first question,
18 not only did we record a document, the internal URL,
19 so we could find that we've actually got a link
20 where we can open the document, and we've got a page
21 number and all that.

22 **MR. KIMLEY:** Okay.

23 **MR. FLETCHER:** So when it comes to other --
24 yeah, what was removed electronically, we did start
25 looking at that. Early on we had a small issue with

1 that. We corrected it and changed the way we were
2 doing our searches and removed the issue.

3 **MR. KIMLEY:** Thank you.

4 **MR. ENSMINGER:** I have one more question. When
5 Morris Maslia and company were working on the water
6 modeling, and we got the original library of
7 documents for Camp Lejeune, it included a lot of
8 draft reports that were written by their
9 contractors, and then the final documents that were
10 written. Have they provided ATSDR with all of the
11 draft documents coming from the contractors on the
12 vapor intrusion?

13 **MR. FLETCHER:** So I don't know of any documents
14 that I'm not aware of. As far as I know I had full
15 access to everything, and I had a copy of everything
16 brought over.

17 **MR. ENSMINGER:** I would recommend -- I mean, I
18 hate to throw this on you, but, you know, all of the
19 final reports that you got from Camp Lejeune
20 contractors, that -- on vapor intrusion, check your
21 database and see how many versions of that report
22 you've got as far as drafts go, and do you have the
23 comments, the handwritten comments or the review
24 comments from the Department of the Navy and Marine
25 Corps on that included? Because we found -- with

1 the water we found a lot of reports that changed an
2 awful lot from the draft to the final.

3 **MR. GILLIG:** Jerry, based on my experience
4 that's not all that uncommon. Documents are --

5 **MR. ENSMINGER:** Yeah, whenever you've got
6 damning stuff in a draft and it disappears out of
7 the final, then you've got something to base some --
8 a complaint on.

9 **MR. GILLIG:** Well, our approach at all sites,
10 we have a draft document and we have a final
11 document, we rely on that final document. We could
12 spend time looking at all the draft documents we've
13 collected on Camp Lejeune. And I don't think you
14 want us to take another three or four years and put
15 off the analysis of the data. I'm sure they
16 might --

17 **MR. ENSMINGER:** They were manipulating reports,
18 because you've got this FOIA exemption of -- because
19 that is a pre-decisional document. It's a draft.
20 They don't have to provide that to the public. And,
21 you know, that's just one more way of them
22 manipulating their contractors to issue the report
23 that they're looking for. I mean, they can call
24 them in in a meeting, and say, okay, we've reviewed
25 your draft report. You know, we really don't like

1 the way you're saying this here. We'd rather have
2 you say it this way or we wouldn't -- we'd really
3 like to see that figure in there disappear. Oh, and
4 by the way we've discovered four more slights aboard
5 the base here that we're going to be letting
6 contract out on here shortly, and we'd really like
7 to see you get them.

8 **DR. BREYSSE:** So can I suggest maybe an
9 intermediate path that, if we identify some central
10 documents that we think have a lot of valuable
11 information in it, we go back and see if we have any
12 drafts of those documents, and see if there's any
13 fruitful mining to be done based on that, and
14 however that works out we can proceed further or
15 not. So that way we're not looking at every
16 possible draft, only ones that we deem might have
17 some key information that might have changed from a
18 draft to a final.

19 Okay, any other questions? Thank you. If
20 not --

21 **MR. ASHEY:** Hang on. Sorry. Lieutenant, since
22 you're standing at the podium, can you give me --
23 can you send me, and I'll give you my email address
24 offline, a complete list of the word search? You
25 said that what you put up there was only a partial

1 list. Can you send me a complete list of the word
2 search you're using, keyword search?

3 **MR. FLETCHER:** You're referring to this one,
4 sir?

5 **MR. ASHEY:** Well, I think the commander said
6 that that was only a partial list, that you had a
7 more complete list.

8 **MR. FLETCHER:** So I -- we used other words when
9 we did the index --

10 **MR. ASHEY:** Right.

11 **MR. FLETCHER:** -- search. We can go back and
12 find them somewhere.

13 **MR. ASHEY:** So you used other words but you
14 don't have a list of --

15 **MR. FLETCHER:** Not on this presentation, no,
16 sir. These were the keywords that were used for the
17 actual search -- the search of the actual documents
18 after duplicates were removed, to really zero us in
19 on documents that were most likely to contain data
20 that we could find useful for a soil vapor intrusion
21 investigation. So other keywords that were used
22 early on in the process were just to kind of help us
23 narrow down the document titles in the indices,
24 which even once that was done we still went through
25 and read each one, tens of thousands of titles, and

1 made decisions one at a time. The only place that
2 is different is for the industrial hygiene -- I'm
3 saying the wrong term -- the base safety database.

4 **MR. ASHEY:** Right.

5 **MR. FLETCHER:** That's the only place where it's
6 different. And there I did not keep a record of
7 everything. I've got a record of most things, but
8 after a while I started just brainstorming on the
9 fly and trying things out based on my professional
10 judgment and scientific training, so I just was
11 trying things to see what I could find.

12 **MR. ASHEY:** Well, the problem I have with the
13 keyword search is canary in the cage, the individual
14 who might smell fumes or gas is not listed, and
15 that's usually the first indication that there is a
16 problem. Now, I'm -- your technical keyword
17 search --

18 **MR. FLETCHER:** So you're saying that the
19 person -- a reporter's name wasn't --

20 **MR. ASHEY:** No, not the name involved. Well,
21 let me back up and explain it this way. Down in
22 Florida we've got 17,000 sites that are contaminated
23 with petroleum products, and it's not unusual -- it
24 was not unusual in the decade that I ran the program
25 for me or the 400 staff that worked with me to get a

1 phone call from a homeowner or somebody who worked
2 in a building that was maybe even a half mile away
3 from one of our sites that says, I smell gas in my
4 building or I smell petroleum vapors.

5 Typical words that a normal layman would use
6 are typically your canary in a cage that indicates
7 there might be vapor intrusion in the building.
8 People who are normal persons are not going to use
9 those technical words that you and I would use in
10 describing this problem in a technical document. So
11 and that kind of goes back to the fire department
12 reports or the base safety reports. Those are the
13 words that people normally use in order to identify
14 the hundreds of buildings that were at Camp Lejeune
15 where there may have been soil vapor intrusion on.

16 **MR. FLETCHER:** So the fire department would've
17 gathered the base residence calls pertaining to
18 issues such as that. Base safety was more for the
19 employee --

20 **MR. ASHEY:** Right.

21 **MR. FLETCHER:** -- OSHA compliance side of the
22 house.

23 **MR. ASHEY:** But you don't have any records from
24 the 70s or the 80s so there's no way to tell.

25 **MR. FLETCHER:** That's correct, sir.

1 **MR. GILLIG:** So Mike, why don't we get the team
2 together that did some of the initial screening,
3 pull them together and talk to them about the
4 keywords they used.

5 **MR. ASHEY:** Yeah, I would -- I'd like to get
6 that back with you. I would like to do that.
7 Department of the Navy, who holds the contract now
8 for vapor intrusion?

9 **MR. GILLIG:** CH2M Hill.

10 **MR. ASHEY:** CH2M Hill?

11 **MR. GILLIG:** I believe it was. Most of them
12 were, but I don't know if that's a -- sometimes --

13 **MR. ASHEY:** Somebody had told me it was AMEC or
14 before that Avtec, initially.

15 **MS. FORREST:** Yeah, I don't want to say with a
16 hundred percent certainty. I know CH2M does a lot
17 of work with the vapor intrusion, but, you know, I
18 can't say every single project --

19 **MR. ASHEY:** For their work that they're doing
20 are they using the recently published EPA guidance
21 documents for that work or do you know?

22 **MS. FORREST:** I am 99 percent certain but I can
23 check on that to make sure.

24 **MR. ASHEY:** Please. Thank you.

25 **MR. GILLIG:** And Mike, it is AMEC and CH2M

1 Hill. Jointly they did some of the more recent
2 studies.

3 **MR. ASHEY:** AMEC had a numeric for it; do you
4 know?

5 **MR. GILLIG:** I assume, but I'm not certain.

6 **MR. ASHEY:** Okay, thank you.

7 **DR. BREYSSE:** So I think we should move on.

8 **MS. MUTTER:** Okay, with that let's move on with
9 our agenda, and we'll get an update on our health
10 studies from Dr. Frank Bove and Ms. Perri Ruckart.

11
12 **UPDATES ON HEALTH STUDIES**

13 **MS. RUCKART:** Good morning. Just want to
14 update you on our health survey and cancer incidence
15 studies. So the health survey the report is going
16 through agency clearance. And as far as the cancer
17 incidence study, so as you recall we are trying to
18 get up to 55 of the state, federal or territorial
19 registries to agree to participate and share data
20 with us for the cancer incidence study, and we have
21 to apply individually to each of those registries
22 because we don't have a national cancer registry.
23 So we have submitted, this is as of Monday, 48
24 applications, and so far we have 19 of those
25 approved, and two partially approved, and what I

1 mean by that is that that registry requires multiple
2 levels of approval so we've passed through some of
3 those hurdles. And then there are seven registries
4 where we still need to submit applications.

5 Now, we've allowed about two years for that
6 process, so we're about a year in so we feel that
7 we're making good progress here, you know, moving
8 along pretty rapidly. I said that we were working
9 with the federal registries. That would be the VA
10 and ACTUR, which is the DoD's cancer registry, as
11 well. So are there any questions about that?

12 **MR. ORRIS:** Have you received any denials?

13 **MS. RUCKART:** So, you know, some of the
14 registries, I wouldn't say they're denials. There
15 are issues with whether we're going to be able to
16 obtain the data because, if you recall, the cancer
17 incidence study is going to be a data linkage study
18 where we don't have contact with the participants;
19 we just have the names from the DMDC database, and
20 then we're going to provide the names, all names to
21 all registries that participate, to see if there's a
22 match, because the registries, the data go back to
23 the 90s, and people could've lived anywhere. It
24 doesn't matter where they live today. So some of
25 the registries have issues where they can't release

1 data unless there is an informed consent, where each
2 person gives the consent for their data to be
3 released. Now, we're not going to have that 'cause
4 we're not contacting people, but... So while
5 there's those issues we haven't gotten what you'd
6 say like a firm denial, but we're trying to see if
7 we can work around that, and, you know, like I said,
8 we've allotted two years so we still have plenty of
9 time, so I can't say at this point which way that
10 will go.

11 **MS. MUTTER:** Any other questions?

12
13 **OFFSITE LOCATION CAP MEETING DISCUSSION**

14 **MS. MUTTER:** Okay. Moving right along, now we
15 have on the agenda the discussion for our next
16 offsite location. I know we had brought -- started
17 bringing this up in the last conference call we had
18 with the CAP, and several cities were thrown out so
19 I'll open the floor to the discussion right now.

20 **MR. TEMPLETON:** The update on the health
21 survey?

22 **MS. RUCKART:** Right. That's what I said first,
23 that the report is --

24 **MR. TEMPLETON:** Sorry.

25 **MS. MUTTER:** So I'll open the floor for

1 discussion on the locations, and hopefully we can
2 get something nailed down today before we leave.
3 And with that, I'll open it up.

4 **MR. ENSMINGER:** The last thing I saw was
5 somebody made a recommendation about Harrisburg,
6 Pennsylvania.

7 **MR. ORRIS:** I think Harrisburg will allow more
8 of the upper northeast segment, specifically New
9 York State. We have a lot of Marine veterans from
10 New York State. We want to have them come down.
11 It's more of a central focus point than the entire
12 Midwest and on base.

13 **MR. ENSMINGER:** I don't know about the Midwest.

14 **MS. RUCKART:** I just want to add this is not
15 for the next meeting; this is for the next offsite
16 meeting, but that's not the next meeting.

17 **DR. BREYSSE:** So I think the sites that were
18 considered were Louisville, Cincinnati, Pittsburgh,
19 Philly and Harrisburg, were the cities that were
20 identified as possible sites.

21 **MR. ENSMINGER:** And how accessible is
22 Louisville? I mean, how many interstates do they
23 have?

24 **MS. CORAZZA:** Twenty.

25 **MR. ENSMINGER:** Twenty?

1 **MS. CORAZZA:** That go through there? Yeah.

2 **MR. ENSMINGER:** Twenty?

3 **MS. CORAZZA:** It's like St. Louis. I think 12
4 at least.

5 **MS. MUTTER:** If I can remind everyone to use
6 your microphones, we can get everything on the
7 record.

8 **MR. WHITE:** What date are we looking at for
9 that next meeting?

10 **MS. MUTTER:** You talked about, it hasn't been
11 confirmed, that we were looking in, I think it was
12 March or April. I thought we were going to delay it
13 a little bit and do the second quarter one in
14 Atlanta and third quarter offsite, fourth quarter
15 back in Atlanta. That's what we had talked about,
16 not confirmed yet.

17 **MR. ENSMINGER:** Well, I'm kind of prejudicial
18 of a recommendation for Harrisburg 'cause I grew up
19 there, so... I'm from Hershey.

20 **DR. BREYSSE:** What's the preference for how we
21 make this decision? 'Cause we've talked about this
22 before. Can we just listen to everybody and make
23 our call or do people want us to call everybody and
24 get a consensus, a majority rule kind of situation
25 or -- there's strengths, weaknesses to every site,

1 and we're committed, going to try and do one offsite
2 a year so if we don't go to some places, doesn't
3 mean we can't consider it in the future. So how
4 would -- let's just talk process for a minute. How
5 would you like us to manage that decision?

6 **MR. ENSMINGER:** Well, I think that every CAP
7 meeting we've had thus far has been relatively east
8 coast, southeast. There has not been much access
9 for people in other regions of the country, and, you
10 know, I know that, you know, we're not going to fly
11 out to Seattle and have a CAP meeting.

12 **DR. BREYSSE:** Although I did grow up there.

13 **MR. ENSMINGER:** Yeah, I know. So, you know, I
14 think that, out of fairness, I think the next
15 offsite meeting should be something that's
16 accessible to people that were exposed to Camp
17 Lejeune that are more centrally located in the
18 country, and I think Louisville would probably be
19 the best bet.

20 **DR. BREYSSE:** So that's a great comment but go
21 back to my question about the process. Any thoughts
22 as to -- you know, how do we reach a consensus, or
23 do you want us to just decide or?

24 **MR. ENSMINGER:** Well, it's out of fairness. I
25 mean, so, I mean, you can take a look at --

1 **DR. BREYSSE:** I understand that it could be --
2 to fit your criteria, Cincinnati could fit.

3 **MS. CORAZZA:** Yes.

4 **DR. BREYSSE:** You know, Pittsburgh could fit.
5 So there might be a host of cities that could -- if
6 everybody agrees do you want to move kind of more
7 out of the south. That still doesn't help us pick a
8 city.

9 **MR. TEMPLETON:** Would we maybe want to -- I
10 mean, I'm going to -- I'm going to borrow something
11 from Jamie here -- is could we say, okay, for each
12 of the four sites each CAP member grade them on, 4
13 being the one that they do want one at, 1 being the
14 one that they would least like it to be at, for all
15 four sites, and then...

16 **MR. WHITE:** So this is just a question. Do you
17 guys have any data for showing where the major
18 concentrations of Marines are that we can --

19 **MS. CORAZZA:** I have it on my phone right now.
20 Yeah, so Pennsylvania is one of the biggest states
21 and it's accessible to the next three biggest
22 states: Virginia, New York and New Jersey. So I
23 mean, honestly, for me it's regional. We need to
24 cover all four regions.

25 **MR. ENSMINGER:** What about Ohio?

1 **MS. CORAZZA:** You know, then you're -- they're
2 all -- yeah, Ohio's large too but that's with the
3 driving distance.

4 **MR. FLOHR:** Well, you know Pittsburgh would be
5 better than -- people would be better served in
6 Pittsburgh than Harrisburg.

7 **MS. CORAZZA:** Yeah, that's what I was going to
8 say. Pittsburgh or Philadelphia over Harrisburg.

9 **MS. RUCKART:** So I was wondering, something to
10 consider when you think about maybe, you know, which
11 city would be more beneficial, what is more
12 important, that there are people potential attendees
13 in the city and close by the city itself or that
14 it's within two driving hours? Because with
15 Harrisburg there's probably not a lot of, you know,
16 potential attendees right there, but you're saying
17 that maybe it's in close proximity to these other
18 cities, two hours' drive, but like Philly or
19 Pittsburgh there's probably a large concentration
20 actually right within that city.

21 **MR. ORRIS:** As long as we're getting that
22 population.

23 **MR. ENSMINGER:** Well, you're right on the
24 border --

25 **MS. MUTTER:** Can we use microphones? I see Ray

1 giving me the eye so I'm just going to be the bad
2 guy and ask we use microphones.

3 **MR. ENSMINGER:** With Pittsburgh you're right on
4 the border of two of the most highly populated
5 states for Marines, former Marines, and Camp Lejeune
6 veterans. So yeah, Pittsburgh would be better from
7 their perspective. 'Cause you got Ohio.

8 **MS. RUCKART:** Right, but people in
9 Philadelphia, probably not as likely to drive out
10 there 'cause it's about a six- seven-hour, so it
11 depends which segment you're trying to get. Do you
12 want more like Philly, New Jersey and New York or do
13 you want more like Pittsburgh, Ohio, you know --

14 **MR. ENSMINGER:** Well, I mean, let's have six
15 meetings a year and we'll go to Philadelphia and
16 that'll cover, you know, eastern Pennsylvania and
17 New Jersey and --

18 **DR. BREYSSE:** So is there -- let me -- is there
19 consensus that we'd like to go in the Pennsylvania
20 area, and if so we'll propose a number of cities,
21 and we'll ask you to score them, per Tim's
22 suggestion, and we'll let that decide where we end
23 up. Is that a fair process that we can all agree
24 to?

25 **MS. CORAZZA:** Can we -- I mean, we can't do it

1 right this (unintelligible)?

2 **DR. BREYSSE:** We can do it pretty quickly, so
3 we can get an email out.

4 **MR. ENSMINGER:** When is the next meeting down
5 here?

6 **MS. MUTTER:** It's going to be in August
7 sometime. I'm not following my own rule. It's
8 going to be in August sometime. I have three dates
9 reserved for the rooms, and I'll send those out for
10 consensus on those dates soon as well.

11 **MR. ENSMINGER:** August? That's the real
12 Hotlanta.

13 **MS. MUTTER:** Welcome to Hotlanta. Okay, so
14 what I heard is I'll send out an email for ranking
15 the three Pennsylvania cities that are on here. Can
16 we also agree on the time frame? This is important
17 for planning. Do we want to do an April meeting in
18 Pennsylvania? That's what we talked about last
19 time. I just want to make sure we're in the same
20 time frame.

21 **MR. ENSMINGER:** Yeah, you get out there around
22 the Allegheny mountains.

23 **MS. MUTTER:** Okay, so what I'm hearing, January
24 time frame is okay in Atlanta, and then April,
25 offsite in Pennsylvania somewhere, and then we'll

1 meet back in Atlanta August time frame next year as
2 well. All right, thank you.

3 **MR. ORRIS:** One question. When we're talking
4 about coming back to Atlanta. We have mentioned
5 several times that we would like to start having
6 these meetings offsite here in Atlanta as well.
7 Have you looked into that at all, and is that
8 something we can do to make it a little bit more
9 accessible for people to come to the meetings
10 without having to go through all the security?

11 **DR. BREYSSE:** So we have considered that, but I
12 think we need to plan a little bit more into the
13 future, if that's going to be the case, so we have
14 to budget differently for that. But I can't
15 remember if there's any -- other than budgetary
16 issues are there any structural reasons why we can't
17 do an offsite?

18 **MR. ENSMINGER:** Yeah, just the --

19 **MS. MUTTER:** Structural and what?

20 **DR. BREYSSE:** Well, any other reason why we
21 can't do it offsite other than just make sure that
22 we budget to pay for meeting space?

23 **MS. MUTTER:** Yeah, we would have to do a
24 technology-lite meeting.

25 **DR. BREYSSE:** Yeah, so we'd have to have the

1 streaming stuff, and so -- as I recall we thought it
2 was kind of getting cost prohibitive.

3 **MR. MCNEIL:** If it's going to stay here, can we
4 find a way to get the people who run the facility to
5 help some of these folks who are coming in here?
6 There are a lot of that I watched getting carried
7 into this room, and that's a long haul to be, you
8 know, a walker or getting carried, and that. I
9 mean, when you're talking about people who are dying
10 from these diseases, to make them walk 300 yards
11 when they have a handicap sticker and can't get out
12 of their car, is -- I think it's insulting. And I
13 would hope that --

14 **MR. ENSMINGER:** You need some golf carts.

15 **MS. MUTTER:** I was just about to say I will
16 look in to see if we can get golf carts from
17 facilities or something. I'll look into that.
18 Thank you for the suggestion.

19 **DR. BREYSSE:** So I'd like to explore
20 preference. So we have about 45 minutes left and we
21 want to make sure we save time for the community
22 concerns. We've spent a little bit of time talking
23 about the charter, but I suspect that might take a
24 longer time than maybe the ten or 15 minutes we
25 could squeeze in and still save time for the people

1 who made the effort to come here to comment. So one
2 option would be to just open it up now for CAP
3 updates and community concerns, and we'll move the
4 charter discussion to one of our monthly phone
5 calls. I think that might be better, 'cause I want
6 to make sure that we do provide an opportunity for
7 the comments. So can we manage that?

8 **MS. MUTTER:** Yeah.

9 **DR. BREYSSE:** So why don't we just move to the
10 CAP updates and community concerns.

11
12 **CAP UPDATES AND COMMUNITY CONCERNS**

13 **MR. ORRIS:** So I would like to make an action
14 item for Melissa Forrest with the DoN. I'd like
15 the -- to get an answer from the Department of the
16 Navy as to what the highest level of TCE vapor
17 intrusion exposure is currently on the base. And
18 I'd also like another assurance from the Department
19 of the Navy that they are using EPA guidelines as it
20 pertains to sensitive populations, i.e., women of
21 child-bearing age, to make sure that they are not
22 being exposed to any TCE vapor intrusion on the
23 base. I think at this point in time we're long past
24 the point where a baby should be injured because of
25 the water at Camp Lejeune.

1 **MS. FORREST:** I just want to make sure I
2 capture this completely. So what's the highest
3 level of TCE vapor intrusion exposure on Camp
4 Lejeune currently.

5 **MR. ORRIS:** Correct.

6 **MS. FORREST:** And you want to -- you want us
7 to -- you want an assurance that we are looking at
8 the most recent EPA guidance on sensitive
9 populations --

10 **MR. ORRIS:** Correct.

11 **MS. FORREST:** -- for TCE exposure?

12 **MR. ORRIS:** Specifically female Marines of
13 child-bearing age.

14 **MS. FORREST:** You mean like the rapid action --

15 **MR. ORRIS:** Yes.

16 **MS. FORREST:** -- recommendations.

17 **MR. ORRIS:** Yes.

18 **MS. FORREST:** Okay.

19 **DR. BREYSSE:** So if there's no other CAP
20 concerns we want to raise, we can open it up to the
21 members of the public that are present. You can
22 make a comment or you can ask a question. So if you
23 indicate your interest in doing so we'll make sure
24 we bring a microphone to you.

25 **MR. TERRY:** Yeah, my name's Alvin Terry; I'm

1 from Little Rock, Arkansas. I was in Camp Lejeune
2 1970, and I want -- first thing I want to talk about
3 is the contaminants of concern that haven't been
4 studied. You know, we have two -- well, there's
5 70 -- there are 50 found in the groundwater. Now,
6 it's important I understand how you get exposed,
7 what the pathway is.

8 I have some expertise in underground subsurface
9 structures. There's a phenomenon called cone of
10 depression, and these occur when a bore hole is
11 pulling hard on the reservoir, or the aquifer. Now,
12 the heavy metals and pesticides reside at the bottom
13 of the aquifer. Now, when you get the cone of
14 depression, during a drought or heavy usage, you're
15 sucking up the bottom of that aquifer, and that is
16 where your heavy metals, lead, mercury, pesticides,
17 on and on, reside. So to understand that there's
18 other toxins that you're being exposed to, the Camp
19 Lejeune cocktail is not just the five or six that
20 they've talked about. There's plenty more.

21 Now, the problem is it can't be quantified
22 because there are no bore hole records of the
23 rotation. So nevertheless the drought records show,
24 or the low rainfall records show, that these cones
25 of depression occur several times during this

1 contamination period. The USGS studies and maybe
2 one of the ATSDR studies documents it, these cones
3 of depression.

4 The other thing I want to talk about is the
5 30-day requirement. Now, Congress assigned the EPA
6 the responsibility of determining safe water levels,
7 clean water levels. These regulations stipulate for
8 vulnerable populations the exposure of carcinogens
9 is zero. The vulnerable populations are those in
10 utero, infants, children, medically compromised and
11 genetically predisposed.

12 Now, the Department of Defense says you have to
13 drink the poison 30 days. The VA says you have to
14 drink the poison 30 days. Now, why is that? Why
15 does this child have to drink 30 days of poison to
16 find some relief? What's up with that?

17 The EPA has already spoken about safe water
18 drinking levels. The maximum contaminated level
19 goal is zero. Anything above that the risk of
20 adverse health developments could be experienced.

21 So here we have in the Federal Register the VA
22 going on record, that's the official record, saying
23 30 days is required. Why is that? Why does this
24 child or this fetus have to have a 30-day exposure?

25 **MR. ENSMINGER:** Hold on a second. The 30 days

1 that the VA announced is for veterans only. It's
2 not for kids, okay? That has nothing to do with
3 children. That is for veterans.

4 **MR. TERRY:** That's not the family program?

5 **MR. ENSMINGER:** No, not that I know of.

6 **MR. TERRY:** Okay.

7 **MR. FLOHR:** No, but the 2012 healthcare law was
8 30 days.

9 **MR. ENSMINGER:** Oh, okay.

10 **MR. FLOHR:** Congress put that in the
11 legislation.

12 **MR. TERRY:** Well, it doesn't matter who put it
13 in legislation. You're saying that these vulnerable
14 populations, there's others than just the family
15 members, they have to drink the poison 30 days, when
16 the EPA has already spoken on the matter. You've
17 developed another safe water drinking standard?
18 Department of Defense says you have to have 30 days.
19 The VA says you have to have 30 days of drinking the
20 poison.

21 **DR. BREYSSE:** Thank you, sir. I think you've
22 highlighted one of the areas of uncertainty that we
23 have to struggle with in terms of addressing the
24 health concerns and producing practical policies
25 that places like the VA and the DoD can develop from

1 what we know about the science.

2 **MR. TERRY:** Well, there are two standards. Two
3 standards, and in view of that, it looks like
4 institutional abuse. It may be institutional child
5 abuse. So --

6 **DR. BREYSSE:** Is there anybody else who would
7 like to address that part or are there any comments
8 that were made?

9 **MR. ENSMINGER:** Well, the way I understood it
10 when they put the law together and the legislation
11 and the announcement of a 30-day cut-off period was
12 that they had to draw a distinction somewhere, and
13 that was the explanation that I got.

14 **MR. TERRY:** They had to draw a distinction?

15 **MR. ENSMINGER:** Yeah, they had to draw a line
16 as to -- as far as how long -- because if you don't
17 draw a line you could have people coming in and
18 claiming, well, I was at Camp Lejeune for one day or
19 I was there for a week, and I got -- now I have this
20 illness, and you need to take care of me.

21 **MR. TERRY:** But is that based on science? One
22 day is enough. One day is enough.

23 **MR. TEMPLETON:** Yeah, I'd like to -- if Jerry
24 doesn't mind, if I add something to this. What they
25 were basing that on was basically the 2009 NRC study

1 and the concentrations that they knew of at that
2 time. There's been water modeling that was done
3 since then that has revealed some different levels,
4 but apparently at that time the science was, let's
5 say, a little thinner in that regard, and that's the
6 time that the law was passed in 2012, was -- for the
7 most part the science, if you will, I'm going to put
8 quotes on that, was coming from the 2009 NRC report.

9 **DR. BREYSSE:** And Frank, I don't know if you
10 want to add to this. In terms of the adults, we
11 looked at the scientific evidence that suggests
12 there's a time threshold for exposure for disease
13 production. And Frank, you want to comment on what
14 we found?

15 **DR. BOVE:** It was very difficult to find
16 literature on this. If you look at the studies that
17 were done it's hard to determine a threshold, and
18 really for cancers, there really is really no
19 justification for the threshold unless you have
20 really strong evidence, so we couldn't identify a
21 period of time from the research that has been done,
22 a minimum amount of time. So the 30-day thing is
23 arbitrary, as you're saying.

24 And if you're talking about birth defects, it
25 could be a day or two is right, for a neural tube

1 defect, for example, because the neural tube is
2 forming in a short period of time anyway, and any
3 exposure during that period could cause it, so these
4 are arbitrary.

5 But the MCLGs you're talking about, the goals
6 that EPA stands, they're not standards. They don't
7 use those other than these are goals we'd like to
8 achieve. The standards are the MCLs, the maximum
9 contaminant levels. And those are not -- those are
10 mostly technology based more than health based.
11 There may be some health aspect to the development
12 of the MCL, but most of the MCLs, including the ones
13 that we're talking about here, the trichloro-
14 ethylene, perchloroethylene and so on, are more of a
15 technology-based standard. This is what can be
16 detected in the drinking water with any of the well-
17 established methods. So you have to keep all this
18 in mind, okay.

19 **MR. TERRY:** Well, but it's also the genetically
20 predisposed, and those are adults.

21 **DR. BREYSSE:** You're absolutely right.

22 **MR. TERRY:** And you're talking about upwards of
23 25 percent of the population. So --

24 **DR. BREYSSE:** So we were asked as part of our
25 review of the literature to be able to say is there

1 evidence that we could suggest a time that would be
2 appropriate, and we told the VA that we -- there's
3 no evidence to say there was a time. And then from
4 a policy perspective the VA has to make something
5 that's operational, and maybe you can comment on
6 that going forward.

7 **DR. ERICKSON:** Sir, thank you for your
8 question. Thank you for researching this as deeply
9 as you have. You're exactly right, making policy
10 can be very frustrating. As Jerry mentioned it
11 involves drawing lines. Very rarely it's also
12 written in such a way that it's satisfactory to all
13 parties involved. VA can certainly re-address and
14 look, and continually look, at things like the
15 30-day requirement that's in the presumptions.

16 My question to our scientists at ATSDR, the
17 experts in environmental health, as the Janey
18 Ensminger Act of 2017 is coming forward, realizing
19 that the 30-day requirement in the 2012 law was
20 based on the NRC report, has there been enough new
21 information from ATSDR studies that in fact you
22 would recommend to our legislators that they change
23 the 30-day requirement? Because that would be the
24 law that would affect children.

25 **MR. TERRY:** What about the VA, why don't they

1 do it?

2 **DR. ERICKSON:** Well, VA, sir, doesn't have the
3 authority to change that law.

4 **MR. TERRY:** I didn't say change the law. You
5 change the regulation. You're the one that went on
6 the record and said that there's no science to
7 support the 30-day.

8 **DR. ERICKSON:** Well, it -- and it was --

9 **MR. TERRY:** There is science in opposition to
10 it.

11 **DR. ERICKSON:** So what I'm asking my colleagues
12 here at ATSDR, since they're at the starting point
13 for knowledge and wisdom as it relates to a time
14 period, for the Janey Ensminger Act -- because ATSDR
15 helps us in this regard. We very much respect that
16 they've got the lead in terms of the science on
17 this. Should the Janey Ensminger Act of 2017 be
18 amended or is 30 days still a reasonable standard?

19 **DR. BOVE:** Just to make it clear, the 30 days
20 didn't come from the NRC report.

21 **DR. ERICKSON:** I know --

22 **DR. BOVE:** It didn't come from the NRC report.

23 **DR. ERICKSON:** Where'd it come from?

24 **DR. BOVE:** Good question. You know, there are
25 other minimum amounts of times. The World Trade

1 Center registry, for example, has different amounts
2 of time for the amount of time you spent as a
3 responder, for example. And so you could look --
4 and that's based on very weak science but it's based
5 on whatever they could find.

6 And that's true what we looked -- and we had
7 the same problem with trying to find some strong
8 scientific basis for saying 30 days, 60 days, 90
9 days, whatever, for adults, for -- as I said, for
10 birth defects it's a different story altogether.
11 There you can talk about days of exposure, but for
12 veterans it was -- it's got strong scientific
13 evidence that --

14 **DR. ERICKSON:** So for the 2017 legislation,
15 what is your recommendation? Leave it at 30 days?

16 **DR. BREYSSE:** I think we'd have to step back
17 and think about that. Up until now we have not been
18 asked to comment on that.

19 **MR. UNTERBERG:** But going back to an earlier
20 comment, I think, Brady you said that someone who
21 lives on the base, and you guys are giving the
22 benefit of the doubt that they were there for 30
23 days. You're not actually counting days. Is that
24 correct?

25 **MR. ENSMINGER:** Yeah, they are.

1 **MR. WHITE:** Yeah, we -- to the extent possible
2 we're giving as much leeway as we can, but we have
3 to show it. It says in the law 30 or more days, so
4 that's what we need to show.

5 **MR. TERRY:** Well, again, I say that's 30 days
6 that's not supported by science and amounts to child
7 abuse.

8 **DR. BREYSSE:** So let me just also clarify kind
9 of a process here. So when the Congress passes an
10 act like -- or proposes something like the
11 revisions, we will get asked to provide a comment on
12 that, and just like the VA will. And that's a point
13 in which we can take an opportunity to revisit
14 perhaps the 30-day and whether that applies equally
15 to all outcomes or whether it might be appropriate
16 to assume a different duration for one outcome
17 versus another outcome. So that would be a formal
18 way that we can -- rather than responding directly
19 to the VA. I can assure you that when we get asked
20 to comment on the bill we will reconsider -- we will
21 consider whether we want to comment on that part of
22 the bill.

23 **DR. BLOSSOM:** Can I just make a quick comment,
24 too? To your comments, very much appreciated. I
25 think, since 2009 there have been more and more

1 studies, at least in toxicology, and in particular
2 with trichloroethylene, which is the compound I work
3 with, in animal studies, that the shift, the focus,
4 has been towards more developmental. So we're
5 talking in utero, early childhood in terms of
6 amounts. And then it's also becoming more and more
7 of a focus, even pre-conceptual, so that it's
8 actually altering the germ cells, so what you're
9 exposed to before you have a child.

10 So we're learning all this right now, and I
11 think that the science is coming along. It just
12 moves very slowly. It's frustrating for scientists.
13 We rely on funding. The funding situation is who
14 knows. So but I do think in terms of policy I know
15 that's very complicated, but I do want to speak to
16 your concerns that I think it's coming. But and the
17 focus has shifted that way.

18 **MR. ENSMINGER:** And in regards to the first
19 part of your question, about the 70-some
20 contaminants that were found in the groundwater, I
21 guarantee you that more than likely there were more
22 contaminants in Camp Lejeune's finished drinking
23 water than what were actually tested for at the
24 time; however, we had to fight a battle to get
25 benzene included, because we couldn't find any

1 evidence where any of the wells that had been
2 contaminated by BTEX had been in operation until we
3 found one document, and they had to rescind the
4 public health assessment from 1997, and benzene had
5 to be put into play.

6 If we -- and I'm just telling you what we were
7 told. And it really makes sense. I mean, you just
8 can't pie-in-the-sky say, okay, there were 70
9 contaminants in the drinking water. Now you've got
10 to look at all those 70 contaminants 'cause we were
11 exposed to them. Well, if you don't have them in
12 writing you're -- well, you know the term -- SOL.
13 I'm just telling you the way it is. I mean, I've
14 been fighting this for 20 years, and you just can't
15 hold somebody responsible if you don't have
16 something to back it up.

17 **DR. BLOSSOM:** And it has to be documented.

18 **MR. ENSMINGER:** Yeah.

19 **DR. BLOSSOM:** And you can't just say, well,
20 it's possible that there were pesticides floating
21 around, and, you know, we are all exposed every day
22 to just a toxic soup in what we eat and are exposed
23 to in the air, and so you do have to have the
24 documentation to back it up.

25 **MR. TERRY:** Well, the EPA has a list of what

1 they found in the groundwater. Now, a lot of it
2 didn't make it to the finish water. At that time,
3 1984, '85, when they did the studies, when they were
4 studying it. But the dumping occurred earlier. The
5 plumes have passed through those bore holes.
6 They've settled into the bottom of the aquifer. So
7 a lot of it has already been consumed or degraded or
8 settled in the bottom of the aquifer.

9 **MR. ENSMINGER:** But if you don't have any proof
10 and you don't have it documented you cannot hold
11 them accountable for it.

12 **MR. TERRY:** The proof is in a cone of
13 depression.

14 **MR. ENSMINGER:** There is no proof. If you
15 don't have -- If you don't have an analytical
16 result --

17 **MR. TERRY:** It can't be quantified. That's --

18 **MR. ENSMINGER:** You -- yeah, and if you -- but
19 if you don't have an analytical result of the
20 finished tap water. That is what you've got to go
21 by.

22 **MR. ORRIS:** So one of the things that -- I
23 mean, just in our overall discussion we have found
24 that there are a lot of inadequacies in what we are
25 doing and responding to the different segments of

1 the exposed population.

2 I know we have a Congressional aid here.
3 Several more are listening and watching through the
4 live stream. I mean, frankly this is a mess. The
5 perfect solution is we don't serve poison tap water
6 to our citizens. That's a perfect solution. It
7 happened. What do we do to respond to it?

8 Yes, in utero exposures can cause damage almost
9 instantly; we know that. But what are we going to
10 do about it? Well, that's -- we have to have
11 Congressional support to get this done.

12 **MR. TEMPLETON:** And just real quickly, and with
13 your background and knowledge, you know, this may
14 play right into your question, actually your point
15 that you're making, is within the water modeling
16 study it also happens to identify, especially within
17 the area where the fuel farm was at, that there's
18 actually an upper aquifer and there's a lower
19 aquifer. And so where they settled and where the
20 lenses are in between the two aquifers, the upper
21 and the lower, makes a difference because there were
22 wells that actually were in the upper aquifer, and
23 some have extended into the -- they couldn't extend
24 to the lower because of the salt entry. But I
25 wanted to point that out. It's in the study.

1 **DR. BREYSSE:** And so we have a comment from
2 another participant.

3 **MR. LOWRY:** This is Jason Lowry with
4 Congressman Jones' office, and I appreciate the
5 question, particularly with the 30-day requirement.
6 We actually wrote a letter, another member of
7 Congress, to convince the VA to eliminate that
8 30-day requirement, that obviously they were having
9 to go by with what was in the legislation. We --
10 and it shouldn't be there.

11 **MR. TERRY:** They shouldn't have to go by that.

12 **MR. LOWRY:** We agree, it shouldn't be there.
13 We met with Senator Burr's office last week, and
14 we're working on our side, on the House side, to get
15 a bill, a companion bill, introduced, and that bill
16 that was introduced on the Senate side does have
17 that 30-day requirement. But on our side that is a
18 very big concern to the Congressman, and I know he
19 would be interested to hear from the folks here
20 about the scientific evidence and why that 30-day
21 requirement is there.

22 We tried to get rid of that, but the VA was
23 certainly going by what the law stated. So
24 hopefully in this new legislation, on the House
25 side, as we move forward, that's something that

1 we'll look at trying to eliminate to get it out of
2 there.

3 **MR. TERRY:** But it --

4 **MR. LOWRY:** I agree with you. I understand.

5 **MR. TERRY:** -- it's not rocket science.

6 There's no science to support it.

7 **MR. LOWRY:** Right.

8 **MR. TERRY:** There's no science to support it.

9 There is science in opposition to it.

10 **DR. BREYSSE:** Thank you, sir. That's -- your
11 point is well taken.

12 **MR. MCNEIL:** Sir, I have a quick question sort
13 of related to the science. You talk about funding.
14 You talk about science moves slow. Would a 25 to
15 30 percent reduction in your budget make it easier
16 to find the answers to this?

17 It has been suggested that, you know, the House
18 is talking about cutting 25 or 30 percent from your
19 guys' budget just across the board, and my question,
20 as somebody who's trying to help these folks, is
21 does a 25 percent cut in your budget make it easier
22 or harder --

23 **DR. BREYSSE:** Sarah works at a university.

24 **MR. MCNEIL:** Oh, I'm sorry. So I'm asking sort
25 of the time --

1 **DR. BREYSSE:** But I'm sure Sarah would take a
2 25 percent increase in her budget.

3 **MR. MCNEIL:** No, decrease. I'm saying, you
4 know, from your current levels, you know, the House
5 is talking about a 25 percent cut. You know,
6 Mr. Jones is here, Burr and Tillis's folks are
7 listening, as we heard. They're talking about doing
8 these massive cuts, and will those cuts hurt your
9 guys' ability to do your job, which then helps us to
10 help these folks.

11 **DR. BREYSSE:** So I think you have to be careful
12 about commenting on budgets since we in fact don't
13 have anything publicly released, and we all work for
14 the executive branch. I'd be happy to talk with you
15 in other -- about it, maybe in the future when we
16 know something more about what our budgets are, but
17 I think for now we're just going to have to be --
18 wait 'til we hear what the actual budgets are going
19 to be for us. We recognize that there's not been
20 anything officially provided by the executive branch
21 in terms of our budgets.

22 **MR. ASHEY:** I would think that a 25 percent cut
23 would hurt any agency dramatically in its ability to
24 perform.

25 **MR. MCNEIL:** Well, I mean that's the reality

1 that we're talking. I mean, we're talking about
2 science moving slowly, not being able to get the
3 answers about, you know, all this stuff. And, you
4 know, you were talking about not being able to get
5 funding, having to fight for this stuff and moving
6 slowly, and, you know, without talking about the
7 politics of it, does a 25 or 30 percent cut in
8 funding make it easier or harder. I think a
9 nonpolitical... That's an easy question.

10 **DR. BREYSSE:** So I think what this -- you think
11 that's an easy question. But I will comment that
12 Sarah's funding probably mostly comes from NIH, and
13 NIH is a part of Health and Human Services, CDC is
14 part of Health and Human Services, so that would be
15 kind of a funding opportunity that Sarah would apply
16 for, not for funding from us.

17 **DR. BLOSSOM:** And I apologize for bringing up
18 the F word, as we call it, so.

19 **MR. KIMLEY:** I met you all at the Tampa
20 meeting, and one of the subjects that was raised was
21 the fact that the study was basically based on
22 people that are no longer with us, and you were
23 doing (unintelligible). And one -- I guess it's a
24 two-part question. Is there another group that's
25 been as large as us that's been exposed to the same

1 amount of chemicals that you've studied? That would
2 be part one.

3 And is there any thought to actually engaging
4 what -- the living, and gathering the data that you
5 can from us to truly understand what's happening to
6 us?

7 **DR. BREYSSE:** Frank, you want to take a stab at
8 that?

9 **DR. BOVE:** The answer to your second question
10 is that we're looking at cancer incidence in this
11 study that we're working on now, which we have full
12 funding for, and so that is one attempt to look at
13 cancer among those who are living. We did the
14 mortality studies because that is the easiest thing
15 to do at first. And so we learned quite a bit from
16 those, but --

17 **MR. KIMLEY:** Yeah, I under -- I'm sorry, I
18 understood --

19 **DR. BOVE:** And we've also -- and we've also
20 were asked by Congress to do a health survey, and we
21 sent out questionnaires to hundreds of thousands,
22 and we've gotten back questionnaires, and that's
23 what we've been talking about in terms of the health
24 survey being through clearance. That'll be -- see
25 the light of day, we hope, soon.

1 So we've done that too, and we're also
2 exploring with the VA researchers, who are also
3 affiliated with the University of California, to
4 look at Parkinson's disease if we can. So we're
5 trying to do as much as we can to find out disease
6 among the living as well.

7 **MS. RUCKART:** And we've had other studies
8 besides the mortality study that we've published.
9 We have a male breast cancer study that we've
10 already completed, and we have two studies on
11 children, one on birth defects and childhood
12 cancers, and one on adverse birth outcomes like low
13 birth weight and things like that. So we have
14 focused also on non-deceased populations.

15 **MR. ENSMINGER:** You don't realize how many
16 questions we get a week from people, from victims,
17 potential victims. And one of the most frequent
18 questions that I get is what about generational
19 effects?

20 **MR. KIMLEY:** That was going to be my question.

21 **MR. ENSMINGER:** Further down the line. And my
22 response back to these people is, hell, we can't get
23 them to admit that the people that were directly
24 exposed were harmed, let alone trying to figure out
25 whether the next generations were harmed. I mean

1 science isn't there yet, I mean. And you've got
2 special interests that are blocking science and
3 causing it to take longer and longer and longer to
4 prove this stuff. I mean, you know, there's another
5 side to this thing.

6 **MR. KIMLEY:** I mean, we're all victims of
7 criminal behavior.

8 **MR. ENSMINGER:** No kidding.

9 **MR. KIMLEY:** Nobody ever talks about that
10 aspect of it.

11 **MR. ENSMINGER:** That's because you can't hold
12 them accountable.

13 **MR. KIMLEY:** Well, but we're victims of
14 criminal behavior. It's unprosecuted and it's been
15 covered up. And, you know, when I first met you
16 guys in Tampa I had just been diagnosed with kidney
17 cancer. Last spring I donated a kidney to this
18 cause.

19 You know, and the human wreckage in this
20 subject is just incredible. And sometimes I sit
21 here and I look at the apathy that is dealt with at
22 that table up there, and it's very frustrating. I
23 think you've heard it so much you've become detached
24 from the human agony that's involved in this.
25 There's families that were destroyed. The lives

1 years would it take? How many, you know, dollars
2 would it take to appropriate against that? What
3 would the study design look like? And we've been
4 aggressive in commissioning that work on the part of
5 the National Academy to advise us, and then we'll be
6 in contact with legislators, et cetera, as it
7 relates to them being able to take action on it,
8 because, across every veteran group -- and I say
9 this as a veteran, I say this as somebody who was an
10 Army brat for 20 years -- veterans, veterans'
11 families are all concerned about that exact issue.
12 Okay, this bad thing happened, these bad exposures,
13 but what about the second and third generation? We
14 want to have answers. And so we've asked again for
15 a roadmap from the national academies that will put
16 this into context, with some specifics. Not just
17 broad statements about epigenetic studies, but okay,
18 epigenetic studies, which epitopes? What
19 technologies are we applying? Exactly how would you
20 design that study? We're trying to get them to
21 commit to something very tangible that we can then
22 actually take action on to get some answers.

23 **MR. ENSMINGER:** Yeah, Ralph, but the problem I
24 have with the national academies is when they form
25 these committees to look at these issues they're

1 pulling people from all aspects of the realm of --
2 you've got people that are working for industry,
3 that are opponents to finding anything; you've got
4 people from academia that have other duties. These
5 people that work for industry, that's their
6 profession, to sit on these panels that are formed
7 by the National Academy of Sciences. And gee, guess
8 who is going to do most of the research for that
9 committee? It's not the people that have other
10 academia duties. They're not the ones that are
11 going to do the heavy lifting for that committee.
12 It's the people that are being paid by special
13 interests that are doing the damn heavy lifting, and
14 they're the ones that are writing the reports.

15 **DR. ERICKSON:** So Jerry, I would encourage you,
16 and anyone else hearing my voice, anyone who's
17 reading the transcript for this session, to actually
18 look at the front leave covers of the National
19 Academy studies. You can see the titles, the names
20 and the titles of the individuals who serve on the
21 ad hoc committees. There's no question, they seek
22 some of the world experts, and they seek a breadth
23 of disciplines to be represented from toxicology,
24 epidemiology, et cetera, on these kinds of issues.
25 I am really hard pressed to think of someone who's

1 been from industry. These are folks like
2 Dr. Blossom, primarily from academia. They are very
3 broadly published. Dr. Breysse just served. He's
4 in fact chaired ad hoc committees. Isn't that
5 correct, sir?

6 **DR. BREYSSE:** I've never chaired.

7 **DR. ERICKSON:** Oh, I thought you chaired. I
8 thought you chaired Blue Water.

9 **DR. BREYSSE:** No.

10 **DR. ERICKSON:** Okay, but he's --

11 **DR. BREYSSE:** I chaired one meeting when nobody
12 else showed up.

13 **DR. ERICKSON:** Okay. So we -- you know, the
14 folks who serve on the ad hoc committees have
15 fantastic credentials as scientists, and let me
16 finish. They serve pro bono, which means they are
17 not paid. They have their per diem paid, sort of
18 like the CAP membership. You guys can get your
19 plane ticket and you get your meals and your hotel;
20 am I right? So it's a similar kind of situation.
21 So you guys are serving, you know, pro bono. You're
22 serving out of love for the cause for the people
23 that you're representing. So these committees are
24 serving that way. They have tremendous credentials.
25 I will tell you whichever agency commissions the

1 work at the National Academy, we do not dictate
2 who's going to be on the committee. Dr. Breysse can
3 back me up on that. We don't say you've got to have
4 this person or that person; we're totally hands-off.
5 And so I think you might want to think twice before
6 you impugn the character or nature of some of those
7 committees.

8 **MR. ENSMINGER:** Well, and my experience is
9 based upon the Camp Lejeune report and the NRC
10 report that they did for Camp Lejeune. And whenever
11 you have somebody like a Janice Yeager, who did the
12 heavy lifting for that committee and cherry-picked
13 the data that met the preconceived conclusions
14 written in the charge by the damn Department of the
15 Navy. And then the peer review coordinator that the
16 National Academy selected for the peer review of
17 that report was a Dr. George Rush, who had at that
18 time worked for more than 30 years for nobody less
19 than Honeywell, Limited, who is second only to the
20 United States Department of Defense in the number of
21 Superfund contamination sites for TCE.

22 **DR. BREYSSE:** So this is a discussion that
23 we're not going to solve here. But I appreciate the
24 breadth of feelings about the matter. And I want to
25 make sure there's other community members who want

1 to comment, either here in-person or on the phone.

2 **MR. CONLEY:** How you all doing? I'm Thomas
3 Gordon Conley, Jr., retired master sergeant Marine.
4 And I've been from Vietnam, Camp Geiger, all the way
5 to Camp Lejeune, and just about every base that you
6 can think of between here and Asia. I want to know
7 -- something that I did not realize, did not even
8 think about, is this water contamination. Now, I
9 got -- had five children. Two came through Camp
10 Lejeune. I've been to Camp Lejeune five times, and
11 I stayed because I was stationed there.

12 It never occurred to me that water was a big
13 problem, because I've gotten so many letters and
14 mail telling me to come and fill out forms for this
15 situation that we're talking about right now. I
16 appreciate everything that you all are doing, but
17 when -- no one has asked when will it come to an
18 end. I'm listening to people saying we're going to
19 meet in Louisville, Pennsylvania, Ohio, but no one
20 has said anything about when is it going to end.

21 **DR. BREYSSE:** Well, maybe I can --

22 **MR. CONLEY:** Wait a minute, sir, I got a few
23 more. It's hard for me to look at my wife and my
24 child, and I know that I am responsible for getting
25 them contaminated.

1 day, because they are not condemning the people that
2 did this back at the -- in the past. They're making
3 excuses for why they did it. So don't ever blame
4 yourself, and I feel your pain.

5 **MR. ASHEY:** I wasn't going to comment on any of
6 this but the more I hear, the more I have to say.
7 You members of Congress who are listening, you just
8 had an example of the human wreckage that has been
9 caused by what happened at Camp Lejeune. And Camp
10 Lejeune is probably the worst example of exposure to
11 contaminated substances in United States history.

12 And government never seems to learn from this.
13 Flint River incident is a good example of how things
14 just happen over and over again. So when
15 Congress -- when you cut Superfund or you only
16 provide 60 million dollars a year to deal with the
17 50 states for petroleum contamination that amounts
18 to about a million dollars a year for each state,
19 that forces states to use risk-based closure
20 procedures that put the citizens that you purport to
21 represent at risk of drinking contaminated water
22 without their knowledge and without their consent,
23 that is wrong. It violates every premise of the
24 Preamble to the Constitution, if not the
25 Constitution, and your sworn duty to your

1 constituents. So I hope if any of you are hearing,
2 you're hearing this well. Thank you.

3 **DR. BREYSSE:** Thank you, sir. So we --
4 [applause] -- we have time for one more question or
5 comment.

6 **MR. HIGHTOWER:** Mr. White, my name's Tony
7 Hightower. At the last meeting here we discussed
8 about notification to Marines. And on behalf of the
9 sergeant major and others, we need notification. We
10 have no notification at the VA. We have monitors
11 that are talking about food, and so notifying
12 Marines to come to this meeting or to register on
13 the registry with the contaminated water that they
14 were exposed to. Now, you ensured us at the last
15 meeting you were going to get at the desk and get
16 back with me. You never got back with me. And
17 there's been no billboards, no signs, no nothing,
18 especially in Atlanta VA.

19 **MR. WHITE:** Sir, I don't remember exactly our
20 conversation, but I told you I was going to make
21 sure we had something implemented, and you were not
22 at the last meeting where I showed the posters that
23 we had developed -- hold on -- and that is being
24 disseminated again to all the VAMCs and the CBOTs
25 (ph), and Mr. Wilkins suggested a few weeks ago

1 about being able to post on the TVs in the VA
2 medical centers. And my communications chief just
3 asked them the status of that, and it's still not
4 fully implemented yet but he's working with his --
5 kind of an overarching, I don't know what you'd call
6 it, committee.

7 **MR. HIGHTOWER:** It's over the media department.

8 **MR. WHITE:** Okay. So he's working that issue.
9 Okay, unfortunately it probably didn't happen as
10 quickly as we would like but it is being
11 implemented.

12 **MR. HIGHTOWER:** I apologize for not being at
13 the last meeting; I was in the hospital. But do you
14 have any idea when this is going to take place? How
15 much longer it's going to take?

16 **MR. WHITE:** Unfortunately, I do not.

17 **MR. HIGHTOWER:** You don't know how long it's
18 going to take to put posters up to notify Marines to
19 register and be informed about the contaminated
20 water?

21 **MR. WHITE:** Sir, I said it. I don't know how
22 many times I can say it, but it's out of my hands,
23 okay. I've tried to move that issue forward. We've
24 got people involved in it, and it's just not
25 happening as quickly as we would like. But it is

1 happening.

2 **MR. HIGHTOWER:** Well, we're not seeing it, and
3 I guess we're going to have to go to the media to
4 inform the Marines, and if I have to take up a fund
5 to do that, that's what I'm going to have to do.

6 **DR. BREYSSE:** So we're at the end of our time,
7 and especially with the traffic closures in Atlanta
8 I want to make sure we finish on time for the people
9 that have to get to the airport. If you leave soon,
10 you might get there around five. Just joking a
11 little. I want to thank everybody again, and
12 welcome to our new members. And thank you very much
13 for your participation, and we'll see you all next
14 time.

15
16 (Whereupon the meeting was adjourned at 12:30 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Master Court Reporter, do hereby certify that I reported the above and foregoing on the day of April 12, 2017; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 12th day of May, 2017.

**STEVEN RAY GREEN, CCR, CVR-CM, PNSC****CERTIFIED MERIT MASTER COURT REPORTER****CERTIFICATE NUMBER: A-2102**