

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

FORTIETH MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

April 27, 2018

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at the DoubleTree by Hilton Hotel, 500
Mansfield Avenue, Pittsburgh, Pennsylvania, on
April 27, 2018.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

April 27, 2018

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS DR. PATRICK BREYSSE	5
U.S. DEPARTMENT OF VETERANS AFFAIRS UPDATES U.S. DEPARTMENT OF VETERANS AFFAIRS LAURINE CARSON, PATRICIA HASTINGS, SCOTT IVES	8
ACTION ITEMS FROM PREVIOUS CAP MEETING CDR JAMIE MUTTER	67
PUBLIC HEALTH ASSESSMENT UPDATES SOIL VAPOR INTRUSION MR. RICK GILLIG	90
UPDATES ON HEALTH STUDIES HEALTH SURVEY, CANCER INCIDENCE DR. FRANK BOVE	97
CAP UPDATES AND COMMUNITY CONCERNS CAP MEMBERS	116
WRAP-UP/ADJOURN DR. PATRICK BREYSSE	133
COURT REPORTER'S CERTIFICATE	134

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

ASHEY, MIKE, CAP MEMBER
BEATTY, GAYLE, VA
BLOSSOM, DR. SARAH, CAP TECHNICAL ADVISOR
BOVE, DR. FRANK, ATSDR
BREYSSE, DR. PATRICK, NCEH/ATSDR
CANTOR, DR. KEN, CAP TECHNICAL ADVISOR
CARSON, LAURINE, VA
DINESMAN, DR. ALAN, VA
ENSMINGER, JERRY, CAP MEMBER
FORREST, MELISSA, NAVY/MARINE CORPS
FRESHWATER, LORI, CAP MEMBER
GILLIG, RICHARD, ATSDR
HASTINGS, DR. PATRICIA, VA
HODORE, BERNARD, CAP MEMBER
IVES, SCOTT, VBA
MCNEIL, JOHN, CAP MEMBER
MUTTER, CDR JAMIE, ATSDR
ORRIS, CHRISTOPHER, CAP MEMBER
PARTAIN, MIKE, CAP MEMBER
STRATFORD, DONNA, VBA

1 Camp Lejeune, CAP member.

2 **MS. FRESHWATER:** Lori Freshwater, CAP member.

3 **MR. ENSMINGER:** Jerry Ensminger, CAP member.

4 **MR. PARTAIN:** Mike Partain, CAP member.

5 **CDR MUTTER:** Jamie Mutter, ATSDR, CAP

6 coordinator.

7 **DR. BREYSSE:** Patrick Breysse, I'm the director
8 of ATSDR.

9 **DR. BOVE:** Frank Bove, ATSDR.

10 **DR. BLOSSOM:** Sarah Blossom, scientific advisor
11 to the CAP.

12 **MR. GILLIG:** Rick Gillig, ATSDR.

13 **DR. HASTINGS:** Pat Hastings, VA.

14 **DR. CANTOR:** Ken Cantor, technical advisor to
15 the CAP.

16 **MS. CARSON:** Laurine Carson, VA.

17 **MS. BEATTY:** Gayle Beatty, VA.

18 **DR. DINESMAN:** Alan Dinesman, VA.

19 **MS. FORREST:** Melissa Forrest -- I can't say my
20 name. Melissa Forrest, Department of the Navy.

21 **DR. BREYSSE:** Okay, so Jamie, you're up?

22 **CDR MUTTER:** Yes, thank you. So just as a
23 reminder, everyone, please turn off your phone and
24 put it on silent so there's no interruptions. If
25 you need to use the rest rooms, go out these doors,

1 down the stairs, and they're to the left. Emergency
2 exits are straight out these doors. Right across
3 the hall are the doors to the outside.

4 And just so everyone knows, if you got an
5 agenda there is a place for audience comments at the
6 very end. We have limited time for audience
7 comments tonight but we have a whole meeting
8 tomorrow dedicated to the public, so if we don't get
9 to you today we have a complete meeting for you
10 tomorrow to have comments and questions.

11 And just so you know, tomorrow the VA is
12 holding a Camp Lejeune health and disability claim
13 clinic from 9:00 to 2:00 p.m., just down this
14 hallway, in the very first room. Representatives
15 will be available to answer questions, review your
16 disability claims and assist with healthcare
17 registration.

18 And just so our table knows, the mics are going
19 to be on the entire time. You don't have to push to
20 turn on and off, just so be aware of that, and thank
21 you very much.

22 **DR. BREYSSE:** And if I can add to that,
23 remember, so the transcription can be done
24 efficiently, use the microphone and try to remember
25 to say your name before you start talking.

1 So the agenda, I'll just walk through that real
2 quickly. So there will be an update from the VA,
3 followed by action items from the previous CAP
4 meeting. There'll be a short break, and then a
5 discussion of the public health assessment updates,
6 which includes the soil vapor intrusion efforts,
7 health study updates, the health survey, cancer
8 incidence study. Then we'll hear from the CAP and
9 get updates on community concerns, as usual. And
10 then we'll wrap up and adjourn around eight o'clock.
11 So any questions about the agenda?

12 Great, so why don't we just jump right in then,
13 and we'll turn the floor over to the VA, and we'll
14 get some updates from the Veterans Affairs.

15
16 **U.S. DEPARTMENT OF VETERANS AFFAIRS UPDATES**

17 **MS. CARSON:** Good evening. First and foremost,
18 CAP members, I wanted to say thank you for allowing
19 us to be here today. My name is Laurine Carson, and
20 I am the acting senior advisor to the director of
21 compensation service at VBA. And today I am here to
22 basically follow up on an agenda item that you all
23 asked us to follow up on.

24 I brought with me Scott Ives, who is over at
25 the front of the table. Scott is on our medical

1 disability examination staff in compensation
2 service, and there was questions, I think Mr. Asheby
3 asked me, about the contract examinations. And so I
4 brought him with me today, as promised, to talk a
5 little bit about that program within VBA, and how it
6 relates to Camp Lejeune veterans. So he will be
7 here and he'll be doing the briefing today.

8 **CDR MUTTER:** We'll be pulling up your slides
9 momentarily.

10 **DR. BREYSSE:** Did somebody want to say
11 something about the brochure that the VA provided
12 with everybody at the table?

13 **MS. CARSON:** We'll have Donna Stratford, our
14 public affairs officer, say something.

15 **MS. STRATFORD:** Everyone has a copy, hopefully,
16 of the Camp Lejeune brochure, and that has an
17 overview of both our health and disability benefits
18 that are available. And we did work with CAP
19 members to help develop that, and make sure all the
20 information was covered that they felt was
21 important, and --

22 **DR. BREYSSE:** Can you lean closer to the
23 microphone, please?

24 **MS. STRATFORD:** And so if anyone needs a copy,
25 they didn't pick one up at the table, please let me

1 A subject matter expert, although that term is
2 kind of, I guess, poorly used --

3 **MR. ENSMINGER:** Hey, you guys started it.

4 **DR. DINESMAN:** Right. No, I'm going to agree
5 with that. That's why I'm saying the term is kind
6 of poorly used. A subject matter expert is somebody
7 who has a requisite amount of knowledge about the
8 information. In fact I would consider you, Jerry,
9 probably a subject matter expert.

10 **MR. ENSMINGER:** Depends on what you're talking
11 about.

12 **DR. DINESMAN:** Well, but that is -- so it is
13 somebody who's had the appropriate training. So for
14 our CMP clinicians we have a process of
15 certification, and then there are individual
16 trainings that go on for a variety of topics. We
17 have training for Gulf War exams. We have trainings
18 for a variety of different components. For the
19 group that have been known as our SMEs, they are
20 folks who have had the requisite training to be able
21 to do these examinations.

22 **MR. ENSMINGER:** Yeah, but from what I'm seeing,
23 you've got a higher standard for your contract
24 people, who are all board certified in occupational
25 medicine, than you do for your own internal

1 whatever, is the SME report considered an IME report
2 or --

3 **DR. DINESMAN:** All compensation and pension
4 exams are IMEs.

5 **MR. PARTAIN:** No, I'm just trying -- I mean,
6 what the -- 'cause I see IME mentioned in documents.
7 I'm trying to understand what is the difference. Is
8 an SME producing an IME, an independent medical
9 review, or whatever, for the decision for the
10 veteran? I mean, what exactly -- I mean, what I'm
11 trying to figure out is this is a substantial break
12 from past -- sorry, train of thought, but it's
13 essential break in procedure in the past and as far
14 as introducing the SME process into the Camp Lejeune
15 claims. And I'm trying to get a handle in
16 understanding, you know, why is it there.

17 **DR. DINESMAN:** So first off, and thank you;
18 that's a good question. All the exams are IMEs, all
19 right. That is an independent medical exam. That's
20 just saying you're going in for an independent
21 examination. This is not a break in any way of
22 normal VA procedure or VHA procedure. We've had a
23 need and a requirement for specialized training for
24 certain types of exams.

25 You're looking for -- one that comes to mind is

1 for former prisoners of war. There is a limit on
2 who can do those, based on the people that have had
3 the appropriate training courses. That's been
4 around for -- I mean, how long have we had former
5 prisoners of war? A long time. We've had
6 specialized testing -- or training, excuse me. Not
7 testing but training -- necessary for all different
8 types of examinations, so this really is not a
9 break.

10 And that's why I kind of want to emphasize
11 that -- and I'll take that -- I didn't come up with
12 that term, but I'll just say that the use of the
13 term SME, I think, was a misnomer. It was just a
14 way of these people kind of designating the fact
15 that they'd had training to look at these, and I
16 would prefer to get rid of the term SME because
17 again it's a standard technique and a standard
18 procedure that we've used in VA for a long time.

19 **MR. ENSMINGER:** Well, let me ask you this --

20 **DR. BREYSSE:** Jerry, can I -- can I interrupt
21 for one minute? So we'll let you finish this train
22 of thought, but then I want to remind people to put
23 their name tents up if they want to get in the
24 queue, and we have a bunch of people waiting to have
25 an opportunity as well. And I don't know how much

1 more slides we have but it's 5:30. We have another
2 half hour for the VA updates, so I just want to keep
3 track of the time.

4 **MR. ENSMINGER:** Let me ask you this. Of all
5 the environmental exposure incidents that the VA is
6 covering, such as Camp Lejeune, Agent Orange, Gulf
7 War, burn pits, how many of them have an SME program
8 like Camp Lejeune?

9 **DR. DINESMAN:** They all have special training
10 programs.

11 **MR. ENSMINGER:** How many of them have SME
12 evaluators' opinions for their claims and aren't
13 covered under -- okay, Agent Orange. You have a
14 presumptive program for Agent Orange. How many non-
15 presumptive claims for Agent Orange get an SME
16 opinion? None.

17 **DR. DINESMAN:** I don't think that's correct,
18 sir. If you -- I think the, the difference is that,
19 if you look at Agent Orange and if you look at Gulf
20 War, the numbers are so much greater than -- those
21 are mandatory training for all CMP. So all are
22 trained to do so.

23 This was a more focused group, or focused
24 population, and therefore it's not something that
25 every single CMP examiner has undergone the

1 training, and that's why we have a limited group.
2 So it's, it's the same, same type of training,
3 though. It's still focused.

4 **MR. MCNEIL:** Real quick, 'cause this sort of
5 goes to the numbers that we were just talking about
6 today. John McNeil from the CAP. What -- is there
7 a difference between the vendor rate of review of
8 these cases and the VA rate of resolution of these
9 cases? Like you talk about 14 or -- the timeliness
10 standard is 20 days. What's the VA's resolution
11 rate versus the contractor resolution rate?

12 **DR. DINESMAN:** So these are not resolution
13 dates. That is the date which the examiner has
14 completed the report. Remember, the, the examiner
15 just completes a report. That is medical evidence,
16 just like expert testimony. It is then up to VBA to
17 rate it. VBA can look at it and they can say, we
18 agree with this opinion or we disagree with this
19 opinion. And we -- and we see both. We've seen
20 people that the opinion has been one way and
21 something else has been granted. There's nothing
22 that says that what the examiner opines necessarily
23 means what will be granted or not.

24 But this 14.1 days is probably pretty close to
25 what our examiners on the VHA side, who, as far as

1 getting the exams completed from the date that it is
2 requested, and that's what that number is, from the
3 date it's requested from the Veterans' Benefits
4 Administration, to the clinic. It's 14.1 days. For
5 the vendors, I haven't gone back and looked at what
6 ours is for, for Camp Lejeune in particular. I know
7 as a total we're around 20 -- 20, 22 days. So for
8 Camp Lejeune I imagine we're going to be well within
9 that.

10 **MR. MCNEIL:** 'Cause the numbers, from what I
11 saw in those slides, and heard, about 1,700 of these
12 have been resolved in seven months by four
13 contractors. That comes out to having a review and
14 resolve rate of three a day per contractor, if they
15 worked 20 days a month, which it would seem to
16 me -- I'm not a doctor and I don't have a full-time
17 job as a doctor doing something else, and then
18 reviewing the records -- but it would seem to me
19 that, to be able to review -- you know, I mean
20 that's basically completing three of these cases all
21 day every day every month, which I can't imagine
22 that this is their primary or only employment, and
23 three a day just seems a whole lot to be able to
24 resolve from their very first time they got sick to
25 whether or not this relates to the Camp Lejeune

1 water.

2 **DR. DINESMAN:** I cannot speak to how the
3 vendors do or what they do because that is up to
4 VBA. I can only speak about what the VA, or VHA,
5 examiners do.

6 **MR. MCNEIL:** But if your guys rate -- I mean,
7 if you guys are working as hard as the contractors,
8 is it possible to take up three files every day,
9 every day of every month, and know scientifically
10 that this doesn't relate or does relate to Camp
11 Lejeune?

12 **DR. DINESMAN:** I think you have a good question
13 but I cannot comment regarding it because I don't
14 really --

15 **MR. IVES:** I can actually comment on that one.
16 Allow me to expand upon that. When I say that
17 there's four vendors that doesn't mean that there's
18 just four board certified medical doctors that are
19 doing these. Each vendor has multiple board
20 certified medical doctors that are doing this.

21 **MR. MCNEIL:** Okay, so that makes a little more
22 sense.

23 **MR. IVES:** Yeah. So it's not just four doctors
24 that are doing these full-time.

25 **MR. ENSMINGER:** Well, then how many are there?

1 **MR. IVES:** It varies by vendor.

2 **MR. ENSMINGER:** I mean, you got a total?

3 **MR. IVES:** That's not something that we
4 actually -- let me back that and explain it better.
5 We tell our vendors, this is the capacity, the total
6 number of requests, that you can expect to see. We
7 allow them to subcontract with the medical doctors
8 based on what they feel is going to be the correct
9 capacity for them. As is noted, sometimes they may
10 have a doctor who's doing it full-time, which would
11 allow them to do more, as opposed to, has a doctor
12 that has their own practice and only does maybe one
13 or two of these a week.

14 **DR. BREYSSE:** Chris?

15 **MR. MCNEIL:** So the VA doesn't know whether
16 they've got one person working full-time or a
17 hundred subcontractors?

18 **MR. IVES:** As far as -- we can always go and
19 ask them. We get a list of all --

20 **MR. MCNEIL:** Have you guys ever asked them?

21 **MR. IVES:** We get a list of all the physicians
22 that are working for them.

23 **MR. ENSMINGER:** Are you vetting them?

24 **MR. IVES:** Yes. We make sure that they are all
25 credentialed and licensed.

1 **DR. BREYSSE:** Yeah, Chris -- I mean, we need to
2 give Chris a chance.

3 **MR. ORRIS:** This is Chris Orris. A couple of
4 questions here. I'm looking this over, and, you
5 know, coming from an auditing background, first of
6 all, I see that you're talking about the special
7 focus review, and then you start giving statistics
8 based on a PWS, which is a performance work
9 statement. What is the difference between the
10 special focus review and the PWS, and why is it that
11 you give us a score of 92 percent of PWS and yet I
12 don't see any score for the special focus review?
13 And I'd also like to know what is exactly the
14 special focus review?

15 **MR. IVES:** Okay. So let me divide that up into
16 two different parts. The special focus review for
17 each vendor was done after they had completed a
18 number of these exams.

19 **MR. ORRIS:** And what is the percentage of pass
20 as opposed to fail for the special focus reviews?

21 **MR. IVES:** I would have to go back into that
22 and get that information.

23 **MR. ORRIS:** Obviously you must know that it's
24 not good because it's not written here.

25 **MR. IVES:** I would disagree with that, but...

1 **MS. CARSON:** This is Laurine Carson. A special
2 focus review is a review of the ratability of the
3 claim based on VBA guidelines, what -- the
4 requirements for them to look at the disability
5 evaluation itself and whether or not they followed
6 those -- the, the laws, the 38 CFR guidelines. So
7 it's ratability, the ability to make a decision
8 based off the review follows those guidelines.

9 **MR. PARTAIN:** 'Cause the devil's always in the
10 details. How about a contract and a scope of work
11 for what the vendors are doing for you all?

12 **MR. IVES:** And so allow me to, to follow up on
13 it.

14 **DR. BREYSSE:** I want to remind people to use
15 their tents to respect everybody's opportunity to
16 speak.

17 **MR. IVES:** So allow me to follow up on that.
18 We could certainly provide what the score was for
19 the special focus review. The purpose of the
20 special focus review was, because this was new for
21 our vendors, it was something they had not
22 previously been doing, we wanted to make sure that
23 special focus review for them so that we could say,
24 here is where we found a problem; here is where we
25 didn't find a problem. The reason we put the

1 92 percent in there is because that is what is
2 written into the PWS. It's their expected quality
3 standard.

4 **MR. ORRIS:** Sure, and I understand that. Now,
5 by saying that, you know, the special focus review
6 was trying to identify what your strengths and your
7 weaknesses were in regards to this, correct? What I
8 want to know is what were those weaknesses and how
9 did they affect individual claims in the process?
10 And whether or not you have certain SMEs who are
11 scoring at a very subpar level, and if so, what are
12 you doing to provide them better training so that
13 they're providing the community with the proper care
14 and support that they need or whether you're moving
15 them on to something else like maybe cleaning
16 windows in the parking lot or something, instead of
17 handling these cases?

18 **MR. IVES:** One, I would say that these
19 individuals, the medical doctors who are doing
20 these, are not actually providing care. These are
21 more of the forensic type of examinations as opposed
22 to care examination.

23 **MR. ORRIS:** Yeah, yeah, but they're providing
24 or they're either giving or disagreeing with
25 providing benefits to people who need care.

1 **MR. IVES:** They're providing a subject matter
2 expert medical opinion, but at the -- after that is
3 provided it is still in VBA's to adjudicate the
4 claim.

5 **MR. ORRIS:** Yeah, but the devil's in the
6 details. I want to see what the scores were, what
7 the individual breakdown was for each of these SMEs.
8 I'm sure you have that. And I don't want to hear
9 Freedom of Information Act.

10 **MS. CARSON:** If the stuff is -- that's within
11 VBA's system, I can't just say, here -- here's all
12 of this information. There is a process, and the
13 process is through the Freedom of Information Act.

14 **MR. ORRIS:** But you can tell me a 92 percent
15 score, but I have a sneaking suspicion that this
16 other score is way less than 92 percent, and I just
17 cannot believe that you just can't stand out and say
18 this is what our body of work is, and own up to it.

19 **MS. CARSON:** And so, Jamie, I would ask that
20 you provide me with a concise question that is being
21 asked so that we can actually go back and provide
22 what information we can, to the best we can.

23 **DR. BREYSSE:** So Chris, if you can articulate
24 that for us, we'll make sure --

25 **MR. ORRIS:** Okay, what --

1 **DR. BREYSSE:** -- it gets in the request for the
2 next meeting.

3 **MR. ORRIS:** The exact request is, is I want to
4 know what the special focus review pass-fail
5 percentage was overall for Camp Lejeune.

6 **MS. CARSON:** Okay. Thank you.

7 **DR. BREYSSE:** Lori? Welcome back, by the way.

8 **MS. FRESHWATER:** Thank you very much. It's
9 good to be back with everyone. I'm wondering, as
10 far as the occupational doctors go, what is the
11 rationale for only having occupational doctors?
12 Because I mean, are you saying you would exclude any
13 others? And why go with that field? Because they
14 don't necessarily have any training in environmental
15 toxins and what that does. A lot of times their
16 resume will say environment, the word environment,
17 and people assume that they have some sort of
18 special training or knowledge, but they don't.
19 That's very rare that they have any, any clue what
20 these chemicals do to a person's health.

21 **DR. BREYSSE:** Would you mind if I take a stab
22 at that, Lori? So I know 'cause in my previous job
23 we ran a residency program in occupational and
24 environmental medicine. And so they indeed get
25 training in environmental toxins and stuff. And the

1 residency is specifically called occupational and
2 environmental, so they're not just solely focused on
3 the work place.

4 **MS. FRESHWATER:** Well, when I looked into the
5 SME program before, there were some of the
6 occupational doctors that did not have the
7 environmental component. Maybe that's changed. I
8 guess I would just ask if that's --

9 **MS. CARSON:** When did you look into it?
10 Because these doctors were not addressed in these
11 claims until the enactment of the new law, so.

12 **MS. FRESHWATER:** Well, like for instance,
13 Dr. Deborah Healey (sic), I believe I'm getting the
14 name right. Heaney. She's still there. I don't
15 remember her exact qualifications, but she also runs
16 a business on the side. So I still contend that
17 there's a conflict of interest there, but I won't
18 get into that. But --

19 **MS. CARSON:** You're saying she's one of the VBA
20 contractors or is she a VHA employee?

21 **MS. FRESHWATER:** No. She's an employee.

22 **MR. ENSMINGER:** She's a VA employee.

23 **MS. CARSON:** Okay.

24 **MS. FRESHWATER:** But that's when, when
25 she -- when the SME program was first began, so

1 maybe it's changed since then, so if I could just
2 get an update on -- no, I'm talking about the
3 qualifications -- to make sure that the occupational
4 doctors also have the environmental component to
5 their...

6 **DR. BREYSSE:** Can I pause here for a minute?
7 'Cause we're not done with the one presentation. We
8 have a whole 'nother presentation, I believe. How
9 many slides do you have left?

10 **MR. IVES:** That's it.

11 **DR. BREYSSE:** Okay, that one's done. So we're
12 going to weigh the options here of having further
13 discussion of this versus hearing what else they
14 have to present. So you guys both have more
15 comments or questions further?

16 **MR. ENSMINGER:** Yeah, I do.

17 **DR. BREYSSE:** I think Mike was up before you,
18 Jerry.

19 **MR. ENSMINGER:** Yeah, he was. Yeah, he was.

20 **MR. PARTAIN:** I just want to go back 'cause I
21 got my hand slapped when I had my card up. Like I
22 said, the devil's in the details. I'd like to see
23 the contract. I know FOIA, and I've heard that, and
24 once again, we have a FOIA lawsuit on this. But,
25 you know, transparency is what needs to be seen

1 here, and the contract for the vendors here, and
2 also the scope of work, how are they doing their
3 jobs, what materials are being provided to them? So
4 that needs to be transparent.

5 **DR. BREYSSE:** Can you give that to us in
6 writing, and make sure that's in the things to
7 follow up with the VA, please?

8 **MR. PARTAIN:** Yes. And, you know, just cutting
9 to the chase with the argument is my final point.
10 You know, this whole point of contention with the
11 SMEs is objectivity. You know, if we're going to do
12 an independent review or an independent evaluation
13 on these veterans' claims to try to determine
14 whether or not they're related to the exposures at
15 Lejeune, once again, transparency. Number two,
16 independent.

17 Prior to the contractors we had VBA employees
18 making decisions. They're employees. They're not
19 independent reviewers. They're going to do what
20 they're told because they're working for the VA.
21 Now, these are now contract employees, and we have
22 no idea what they're being told, what training
23 material they're being presented or --

24 **MR. ENSMINGER:** Who the hell they are.

25 **MR. PARTAIN:** -- who the hell they are, to

1 begin with too, what companies these are, what
2 associations these companies have with different
3 entities. Do they represent workmen's comp
4 for -- you know, like Deborah Heaney -- workmen's
5 comp environmental types? That needs to be in the
6 public.

7 **MS. FRESHWATER:** She's actually involved in
8 court cases recently where she is working for
9 industry.

10 **MS. CARSON:** Right, but she's not one of the
11 contractors.

12 **MR. PARTAIN:** No, but -- we don't know.

13 **MS. CARSON:** She's one of the VHA.

14 **MS. FRESHWATER:** Right.

15 **MR. PARTAIN:** We don't know who these
16 contractors are is my point.

17 **MS. CARSON:** Okay.

18 **MS. FRESHWATER:** They could be like her or
19 worse.

20 **MR. PARTAIN:** You gotta raise your card. Just
21 like Deborah Heaney, we didn't know who she was
22 until she surfaced, and we found out that she had an
23 independent business where she was providing
24 consulting work for the government and industry
25 against toxic tort cases involving workers comp. To

1 me that's a conflict of interest.

2 **MS. CARSON:** Thank you for bringing that to my
3 attention. I will definitely take that back to the
4 deputy director of the medical disability exams, for
5 her to find out and to provide more information on
6 that.

7 **MS. FRESHWATER:** I can send you some stuff I
8 have.

9 **MS. CARSON:** Yes, I'll give you my card
10 afterwards.

11 **DR. BREYSSE:** Jerry, you get the last word for
12 this session before we go on to the next
13 presentation.

14 **MR. ENSMINGER:** Yeah. You know, this whole
15 process stinks. I mean, before, all a veteran had
16 to do was fill out a claim and get a nexus letter
17 from their attending physician or their specialist.
18 Nowadays these opinions are being written by people
19 that have never even seen these people. They have
20 never examined them. All they're doing is looking
21 at pieces of paper and making their opinions on
22 these people. That's wrong. They are actually
23 going in back and questioning the nexus letters that
24 have been -- that these veterans have had submitted
25 by their oncologists. That's wrong. This is so

1 sterile and so impersonal, it's not right.

2 If you're going to write an opinion and deny
3 somebody their right to life, really, then, by God,
4 you should be seeing these people instead of just
5 sitting back somewhere in an office and looking at
6 pieces of paper and making an opinion that is going
7 to affect the rest of these people's lives. It's
8 not right.

9 **MS. FRESHWATER:** Going against their
10 oncologists.

11 **MR. ENSMINGER:** Yeah. So that's the last word
12 I have.

13 **DR. BREYSSE:** So is there another presentation?

14 **DR. HASTINGS:** Yes.

15 **DR. BREYSSE:** For the VA?

16 **DR. HASTINGS:** We have the family member
17 program. There were some questions in regards to
18 that the last time, so they have an update to that
19 as well as the numbers that were requested in
20 regards to funding.

21 **DR. BREYSSE:** Great.

22 **MR. ASHEY:** Ms. Carson?

23 **MS. CARSON:** Hi.

24 **MR. ASHEY:** Hey. Did this presentation, was
25 that to answer my question?

1 **MS. CARSON:** That was to try to answer your
2 question and tell you a little bit more about the
3 contract exam staff.

4 **MR. ASHEY:** Okay. So the only statement that
5 was really made, that vendors have a quality
6 standard of 92 percent. The question I asked was:
7 How are these contractors graded? If they have
8 approximately -- I think what the number was, about,
9 well, a little less than 1,700. So of that, how
10 are -- I mean, are they being graded based on how
11 many they approve or how many they deny? That's the
12 question I asked last time.

13 **MS. CARSON:** So that's -- Scott, can you answer
14 that question?

15 **MR. IVES:** Yes. And no, they are not being
16 graded on whether they provide a positive or a
17 negative opinion. That is not what they're graded
18 on.

19 **MR. ASHEY:** Okay. So then they're graded on
20 the paperwork that they do, and that they check all
21 the boxes and review everything. Again, as Jerry
22 said, that's a very impersonal process. I mean,
23 they're not even examining these people. They're
24 just looking at paperwork, right? Am I
25 understanding that correctly?

1 **MS. CARSON:** For the medical opinions?

2 **MR. ASHEY:** Yeah.

3 **MS. CARSON:** Unless there's a need they
4 generally do not see the person, but for all other
5 exams they do see the veterans.

6 **MR. ENSMINGER:** Would you personally accept a
7 medical opinion from somebody making an evaluation
8 on your life? No, you wouldn't. Hell, I wouldn't.

9 **DR. BREYSSE:** So the next presentation is by
10 whom?

11 **MS. BEATTY:** Gayle Beatty.

12 **DR. BREYSSE:** Gayle, thank you.

13 **MS. BEATTY:** Good evening, everybody. I am a
14 program management officer in the office of
15 community care in Denver. I'm over --

16 **DR. BREYSSE:** Speak a little closer to the
17 microphone, please.

18 **MS. BEATTY:** I'm over the Camp Lejeune family
19 member program. I've been over it for the last five
20 months. The Honoring America's Veterans and Caring
21 for Camp Lejeune's Families Act of 2012 was enacted
22 August 6, 2012. Section 102 requires VA to provide
23 healthcare to veterans who served on active duty at
24 Camp Lejeune and reimbursement of medical care to
25 eligible family members for one or more of 15

1 specified illnesses or conditions that are listed.

2 To be eligible for VA healthcare a veteran must
3 have served on active duty at Camp Lejeune for at
4 least 30 days between August 1, 1953 and
5 December 31, 1987. The veteran does not need to
6 have one of the 15 health conditions to be eligible
7 to receive VA healthcare. Veterans do not need a
8 service-connected disability to be eligible as a
9 Camp Lejeune veteran for VA healthcare.

10 VA healthcare related to any one of the 15
11 qualifying health conditions is at no cost to the
12 veteran, including copayments. Camp Lejeune
13 veterans are involved in VA healthcare in Priority
14 6, unless they qualify for a higher priority group.
15 VA began providing care to Camp Lejeune veterans on
16 the date the law was enacted which was August 6,
17 2012.

18 As of March 31, 2018 VA has provided healthcare
19 to 52,688 Camp Lejeune veterans, 3,211 of which were
20 treated specifically for one or more of the 15
21 specified Camp Lejeune-related medical conditions.
22 So any Camp Lejeune veterans interested in
23 enrolling, we've got a phone number here. We also
24 have copies of the slide show, afterwards, if you'd
25 like.

1 **MS. FRESHWATER:** So that looks higher
2 from -- again, I'm trying to catch back up. I've
3 been on leave from the CAP for a while. But does
4 anyone know what to compare that to, say, a year
5 ago?

6 **MR. ENSMINGER:** You got any historical data?

7 **MS. BEATTY:** I don't have it on me now.

8 **MR. ENSMINGER:** Okay.

9 **MS. BEATTY:** But I will know for next time, if
10 that's what you'd like.

11 **MS. FRESHWATER:** Thank you.

12 **MS. BEATTY:** So the table below displays the
13 number of veterans who have been treated for each
14 specific Camp Lejeune medical condition. As you can
15 see, the renal toxicity is the -- has 769, which is
16 the most common bladder cancer.

17 **MR. ENSMINGER:** Go back up to that, please.
18 You have a copy of this, hard copies of this?

19 **CDR MUTTER:** It's in your --

20 **MR. ENSMINGER:** It is in the folder?

21 **CDR MUTTER:** Yeah.

22 **MS. BEATTY:** If you want extras, I've got a few
23 extras too.

24 **MS. FRESHWATER:** So there's -- there's no
25 listing for auto-immune, except for scleroderma; is

1 that right?

2 **MR. ENSMINGER:** Renal toxicity, 769? Oh, gee,
3 go figure. That's not one of the presumptives. It
4 was one that was dropped off. Okay, thank you.

5 **MS. BEATTY:** So the family member program.
6 Camp Lejeune family member program, launched on
7 October 24, 2014, the day the regulation became
8 effective. Family members receive care by civilian
9 providers and the VA reimburses, as payer of last
10 resort, out-of-pocket medical costs associated with
11 the 15 conditions. Family members may request
12 reimbursement for covered expenses incurred up to
13 two years prior to the date of the application.

14 As of March 31, 2018 we had 1,839 family
15 members that are administratively eligible, 537
16 family members that are clinically eligible for one
17 or more of the 15 covered conditions. VA has
18 provided reimbursement to 372 family members for
19 claims related to treatment of one or more of the 15
20 conditions. We've got the phone number and the
21 link.

22 To receive reimbursement for medical expenses
23 the Camp Lejeune family member must be determined
24 administratively eligible for the program, must have
25 had a dependent relationship to an eligible veteran

1 during the covered time frame, have resided, to
2 include in utero, on Camp Lejeune for at least 30
3 days between August 31, 1953 and December 31, 1987,
4 and have one of the -- one or more of the 15
5 qualifying health conditions. And again, that's for
6 clinical, to get reimbursed for your claims.

7 **MR. ORRIS:** Thank you for providing all this
8 information, Ms. Beatty. I appreciate that. I have
9 a couple of questions for you. Something that I've
10 pointed out, I'm one of the administratively
11 eligible Camp Lejeune. I was born there at the
12 base. However, my condition, even though the
13 scientists have given sufficient causation for that
14 illness to be included in the Camp Lejeune
15 bibliography, it's not covered under this healthcare
16 act.

17 My question is: There's a large discrepancy
18 from the administratively eligible Camp Lejeune
19 family members and those who are actually medically
20 eligible. How many of those who are
21 administratively eligible have an illness or
22 condition that has sufficient causation,
23 scientifically, and are just not able to receive any
24 care or reimbursement for those conditions, based on
25 the statutory requirements?

1 **MS. BEATTY:** Because of the 15 conditions?
2 They have something other than the 15 conditions?

3 **MR. ORRIS:** ATSDR has released a public health
4 assessment that includes many conditions that are
5 not included in this act. How many of those family
6 members who have been approved administratively are
7 not receiving care just because of this Act?

8 **MS. BEATTY:** I could not tell you that.

9 **DR. HASTINGS:** And part of that -- hi, this is
10 Pat Hastings; I work in post-deployment
11 health -- and part of that is because this is
12 legislated. And I think you do some very important
13 work here, the science. We're very happy to work
14 with you on those things because those are important
15 questions. But part of it is legislative, and, you
16 know, we hope that, with working together, we can
17 change some of these things.

18 **MR. ORRIS:** I agree with that, and one of the
19 first things to being able to work together is to
20 find out how many of the administratively eligible
21 family members are not receiving care because their
22 condition is not included in the Act?

23 **MS. FRESHWATER:** Well, how do you prove a
24 negative, though, Chris, is what I'm trying to
25 figure out on your question.

1 **MR. ORRIS:** Lori, please raise your, your
2 thing. I'm sorry, I didn't hear your response.

3 **DR. HASTINGS:** I was going to say it's probably
4 the majority of those, because they would have a
5 condition that was sufficiently concerning to them.
6 So what I would say is we can look at those each
7 individually. My supposition, and this is only a
8 supposition, would be that it probably is the
9 majority of them that have something that is
10 concerning to them. I'm not sure what the science
11 says but we could look at that.

12 **MR. ORRIS:** Maybe for -- bring it back for the
13 next meeting.

14 **DR. HASTINGS:** Absolutely, and Jamie, if you
15 could take that under advisement.

16 **MR. ORRIS:** And then I want to say thank you
17 for providing the dollar amounts for the family
18 members for reimbursement as well as the payments to
19 the family members. One thing that I always ask for
20 and do not see again in this is the cost to run your
21 program as opposed to the benefits that are paid
22 out. I see historically we've paid out 1.9 million
23 in total for the family member program but I don't
24 see how much that program has cost since inception,
25 which is something that I normally ask for.

1 **MS. BEATTY:** And Mr. Orris, I watched the live
2 stream from last meeting, and I know that you were a
3 little bit upset about the numbers that we had
4 provided. I contacted Brady White. I work with him
5 in Denver. And I said, okay, what was it that you
6 gave him, because I want to replicate that, and he
7 could not give it to me. He said -- he says, when I
8 got the program I received about a thousand
9 documents and not a real in-depth review about the
10 program. I was involved in the beginning, and I had
11 to back out. I've got four other programs that I am
12 over, that I take care of as well. So I was not as
13 crisp as I should have been right in the beginning.
14 But anyway, I needed more information. He could not
15 give it to me.

16 **DR. HASTINGS:** Can I ask a question, and this
17 is of you, Gayle. I think what might answer your
18 question is how many staff people do they have?

19 **MR. ORRIS:** No, I want to know the bottom
20 dollar budget amount that this program costs every
21 single year as opposed to what it pays out.

22 **DR. HASTINGS:** Right. And I think most of the
23 cost right now is simply staff.

24 **MR. ORRIS:** Okay.

25 **DR. HASTINGS:** So we could absolutely give you

1 that.

2 **MR. ORRIS:** Okay. Thank you, I appreciate
3 that. Because in looking at the numbers, just to
4 put this into comparison, taking this from a
5 personal perspective, just four surgeries that I
6 have had equal more than the total you've paid out
7 year-to-date, for all of the family members. So I
8 can really -- I, I want to highlight that, that,
9 while these numbers seem big, from a medical
10 standpoint these are very, very small amounts for
11 providing care and compensation to the family
12 members who were also exposed.

13 **DR. HASTINGS:** And can I ask one more question?
14 You're talking specifically about the family member
15 program.

16 **MR. ORRIS:** Correct, specifically about the
17 family member --

18 **DR. HASTINGS:** Okay.

19 **MR. ORRIS:** -- program.

20 **DR. HASTINGS:** Yeah. The cost is really the
21 personnel, and we can get you that.

22 **MR. ORRIS:** Okay, thank you. And then one
23 other thing I'm looking at here. I see you broke
24 down by fiscal year for '15 through '18. The
25 administratively eligible as opposed to the

1 clinically eligible. Is that a cumulative total?

2 **MS. BEATTY:** No, that's each year.

3 **MR. ORRIS:** That is each year. Okay.

4 **DR. BREYSSE:** Okay. So what I'm going to ask,
5 again, to be fair, if you raise your card, limit you
6 to one question, and then come back. If you have
7 multiple questions, again, I think it ties up the
8 queue a bit. Lori?

9 **MS. BEATTY:** I just wanted to finish with Mr.
10 Orris real quick, just for a second. What I was
11 wanting to show with that is that hopefully we've
12 kind of reached the saturation point, and it's
13 starting to go down each year, which is a positive.
14 I just wanted to show that.

15 **MR. ORRIS:** Yeah. I appreciate that. Thank
16 you.

17 **MS. FRESHWATER:** Hi. Lori Freshwater. I was
18 also a family member. I was on base from around
19 '79 to almost '84. So I got the really full dose of
20 the water. I also went to Tarawa Terrace to school,
21 so.

22 I have auto-immune issues. So this is my first
23 meeting actually having Dr. Blossom here, and I
24 really want to thank you for being here and doing
25 the work you do. My issues are auto-immune, and

1 they get worse each year. I don't have -- I have
2 not been diagnosed with lupus, even though I have
3 many lupus symptoms. I haven't been diagnosed with
4 this or that, but we all know what auto-immune does,
5 and each year my quality of life -- I'm more limited
6 in what I can do.

7 So what I want to know is I understand what
8 a -- a can of worms doesn't cover it, metaphorically
9 or cliché-wise. It would open with auto-immune.
10 But I think we need to start addressing it because
11 the science is more and more, every year,
12 inflammation, immune, curing cancer. You know, I've
13 been on this for years, all the connections, and I
14 think we could actually do some good together on
15 this.

16 So what can I do, what steps can I take to open
17 up the conversation about having family members
18 being looked at for auto-immune and how do we -- and
19 then I understand it legislatively, the haul we
20 would have to go through, but what could we do to be
21 more prepared as family members when we go to
22 Congress and say we really need you to add, you
23 know, lupus, or, or whatever it is that the science
24 might be showing, by the time we get there in 30
25 years or whatever? I just want to start, you know.

1 **DR. BREYSSE:** So is that -- was that a
2 question?

3 **DR. HASTINGS:** I think it -- I mean, I think
4 she's telling us that more needs to be done, and
5 that's part of this process. We're very happy, with
6 the VA, to be invited to this because it's important
7 for us. I mean, we, we exist to take care of
8 veterans and in this case the family members.

9 The legislation is not perfect but it was
10 historic. This was amazing legislation to get
11 through and, you know, thanks very much to the
12 gentleman across the table and many of you that are
13 here. It's not done yet though, and we are very
14 happy to work with ATSDR to look at the science and
15 to make objective decisions about where the science
16 is leading us. I think -- and, you know, this
17 is -- ATSDR would lead the charge but I think it is
18 to have specific disease processes that are
19 scientifically valid, that can be documented and
20 validated. And to go to the halls of Congress and
21 say the science shows this and it is a preponderance
22 of the evidence.

23 **MR. ENSMINGER:** Well, I believe that there's
24 supposed to be a review every three years, or is
25 that just for the presumptive program?

1 **DR. BREYSSE:** I'm not aware of a mandate to re-
2 review stuff every three years.

3 **MR. ENSMINGER:** It's either in the law or in
4 the presumptive rule.

5 **DR. HASTINGS:** Yeah, I'm not aware of that,
6 but, you know, to, to take this --

7 **DR. BREYSSE:** If there is something just let us
8 know.

9 **MR. ENSMINGER:** And Senator Burr has an
10 amendment to the 2012 law. I don't know where the
11 hell it is right now but I'll ask him. I'll be up
12 there next week so I'll ask him where it is, because
13 we're supposed to get all these health conditions
14 straightened out. I mean, there are some on that
15 list that are currently on there need to come off
16 and there's some that aren't on there that need to
17 go on it, like Chris's --

18 **DR. BREYSSE:** Yeah, so we're --

19 **MR. ENSMINGER:** -- you know, the congenital
20 heart defect.

21 **DR. BREYSSE:** Let me just get -- put Lori's
22 question probably to bed. Then we have a decision
23 to make 'cause we're at the end of this time. So I
24 want to remind people, we produce the review
25 document that I think Chris is referring to, where

1 we evaluated what we thought the strength of
2 evidence was between the exposures of chemicals at
3 Camp Lejeune and different disease endpoints, at the
4 request of the VA.

5 **MR. ENSMINGER:** That was not for the 2012 law.
6 That was for the presumptives.

7 **DR. BREYSSE:** So the Secretary of the VA asked
8 us to do that, and we produced that on their behalf.
9 So if the VA would like us to assist them in
10 assessing the strength of evidence about the
11 relationship between chemicals and the other
12 disorders, we'd be happy to do that, but I think
13 we'd need a -- to work on that, we need a request to
14 do such a thing, as we received when we did that
15 last one.

16 **DR. HASTINGS:** And what I will do is, if I can
17 talk to you next week, we can see where we need to
18 go with this plan.

19 **DR. BREYSSE:** Sure.

20 **MS. FRESHWATER:** 'Cause I know -- I'm sorry,
21 just real quick, you have in there that children
22 exposed to the chemicals are -- I don't want to
23 phrase it wrong, but there's -- auto-immune is
24 listed in the research; is that right?

25 **DR. BOVE:** Well, again, I think -- I'm not sure

1 which auto-immune disease you're talking about on
2 the health assessment, but it's scleroderma that's
3 the key auto-immune disease related to trichloro-
4 ethylene, and so -- and we --

5 **MS. FRESHWATER:** I'll find it. 'Cause when I
6 was doing some reporting recently I came across it.
7 I'll find the exact language.

8 **MR. ORRIS:** So one final quick question, and I
9 want to say --

10 **DR. BREYSSE:** I'm going to have to ask the
11 people who -- I'm going to have to call on people
12 before they speak, if you don't mind, 'cause Mike,
13 again, had his up first. So when you're done
14 speaking if you could put your thing down, so I can
15 keep track of that. And we'll just do -- we'll do
16 Mike, Mike and Chris, and then we'll move on to the
17 next session.

18 **MR. PARTAIN:** This will be a little bit longer
19 'cause it's -- I'm sorry, my voice is going out.
20 This is concerning one of the non-presumptive
21 categories, and we've been talking about this for
22 quite some time. I came across a document recently
23 and kind of -- a question based off of it. This
24 document came out of the Office of Disability and
25 Medical Assessment. I'm not sure who wrote it. If

1 any of y'all would know I'd like to hear it. But it
2 was written September of 2015. It's a white paper
3 concerning kidney and renal conditions based on an
4 IOM report that we've been talking about for the
5 better part of three years. I pretty much mention
6 this IOM report almost every meeting.

7 We continue to get veterans after veterans -- I
8 had one two nights ago, email me who had renal
9 condition and denied. And I keep asking the same
10 question. We have an IOM report where the
11 recommendation was made to give the benefit of the
12 doubt to the veterans.

13 And I'm going to read the section from this
14 white paper. This was written by the VA in regards
15 to the IOM report. As stated in the IOM report,
16 among the contaminants at Camp Lejeune trichloro-
17 ethylene and perchloroethylene, or PERC, were most
18 likely to be responsible for acute kidney injury and
19 potentially subsequent chronic renal disease. In
20 general, human and animal studies demonstrate that
21 high-dose exposure are required for -- are required
22 for acute renal effects to be observed and that such
23 effects are variable among species.

24 Now, note the high-dose exposures are
25 required. That's something I continually see in the

1 paperwork with the SMEs. Nothing about long-term
2 low doses. It's always high doses.

3 **MR. ENSMINGER:** Or mixtures.

4 **MR. PARTAIN:** Or mixtures, okay. The IOM
5 report noted: There is no evidence for an increased
6 incidence of chronic kidney disease in those who
7 resided at Camp Lejeune during the time of the
8 contaminated drinking water, unquote. This finding
9 was primarily attributed to the fact that the
10 documented levels of PCE and TCE in the drinking
11 water at Camp Lejeune were much lower than those in
12 human and animal studies reviewed, and the duration
13 of exposure would likely have been much shorter for
14 Camp Lejeune residents. Okay?

15 Now, the IOM report and one of the
16 recommendations towards the end of the report, which
17 is not mentioned in this white paper at all, reads:
18 Therefore the committee, IOM committee, recommends
19 that VA consider modifying their guidance and
20 algorithm K, as suggested in revised algorithm K, to
21 indicate that patients presenting with defined
22 reductions of GFR -- and I cannot say this word --
23 proteinuria, and who had abnormal renal function
24 tests or a urinalysis of unknown etiology while
25 residing at Camp Lejeune should be accepted to the

1 program. The committee also recommends that VA
2 consider accepting into the Camp Lejeune program
3 patients with chronic kidney disease but without
4 evidence of kidney damage during or around the time
5 of residence at Camp Lejeune if there are no more
6 other likely causes of their kidney disease.

7 This language appears nowhere on this white
8 paper. And, you know, one of the veterans that
9 contacted us recently, we're looking at his denial,
10 which was on a template. I guess it's a checklist
11 that you guys have to fill out when you write these
12 reports now. The SME is Deborah Heaney, and she's
13 saying that the veteran, while he, he was at Camp
14 Lejeune for three and a half years but he worked in
15 the automotive industry. He was exposed there and
16 had been -- they ended up denying his claim for
17 renal toxicity.

18 **DR. BREYSSE:** So your, your point is?

19 **MR. PARTAIN:** Yeah, my point is --

20 **DR. BREYSSE:** You would like renal toxicity to
21 be reconsidered as a condition?

22 **MR. PARTAIN:** Yeah, we keep asking it, and we
23 keep getting a stone wall. And now that I'm looking
24 at this white paper that was written three
25 years -- or what, three years ago now. I mean, it

1 goes back to what we've been talking about the SME
2 program. You can't cherry-pick, and that's what it
3 appears to us when we see this stuff that it's
4 been --

5 **MR. ENSMINGER:** Because that's what is
6 happening.

7 **MR. PARTAIN:** -- and anyway, so I'd like to
8 know who wrote this paper.

9 **DR. BREYSSE:** So if you can come forward after
10 the VA --

11 **DR. DINESMAN:** If you can send it. I'm not
12 familiar with the white paper so if you can send
13 that. Also would like to, just as an aside, you
14 emphasized what was in the IOM report, and I do
15 remember when I just started on the -- coming to the
16 CAP meetings, being told that we should never use
17 the IOM.

18 **MR. PARTAIN:** No, that's the NRC report. This
19 is something you guys -- you guys commissioned the
20 IOM to review your clinical guidance, and they came
21 back with something that the VA did not like. And
22 the report just disappeared. This is the first time
23 I've seen it discussed in the VA, in the documents.
24 And by the way, the, the point of contact in the
25 document was redacted, so.

1 **DR. DINESMAN:** Yeah, if you can send that,
2 'cause --

3 **MR. PARTAIN:** Oh, I'll be glad to.

4 **DR. BREYSSE:** Mike?

5 **MR. ASHEY:** Is the family member eligibility
6 issue -- and I know you're not going to be able to
7 answer this question but I'd like to know -- on the
8 VA's lobbying team's agenda to Congress?

9 **DR. HASTINGS:** That I'll have to defer to the
10 family member program. So Gayle?

11 **MS. BEATTY:** I'm sorry, I could not tell you
12 that.

13 **DR. BREYSSE:** But I can tell you, as a federal
14 employee, we don't lobby.

15 **MR. ASHEY:** No, but -- no, no.

16 **DR. BREYSSE:** We're very careful not to look
17 like we lobby.

18 **MR. ASHEY:** That's not -- that's not what I'm
19 asking. I'm not asking for individuals, but your VA
20 Secretary, when you get another VA Secretary at some
21 point, or past VA Secretaries, there is usually a
22 lobbying team that supports him and lobbies
23 individual members of Congress for issues that are
24 important. You do it for budget, you do it for
25 staff, you do it for facilities, you do it for

1 improvements.

2 And my question is: Is this family member
3 eligibility issue one of the action items for the
4 lobby team or the Secretary?

5 **DR. HASTINGS:** And I can tell you I don't work
6 with the lobby team. I can find out if there is an
7 interest, if the lobby team has that. I don't -- to
8 tell you the truth, I have not heard of the lobby
9 team before, but I'll find out if we have one.

10 **MR. ASHEY:** Well, either that or the Secretary,
11 but I guarantee you it can't be the Secretary alone.
12 They probably have a legislative lobbying group.

13 **DR. HASTINGS:** No, they do have an office that
14 looks at legislative affairs, but I have never heard
15 of us going over and lobbying. But I'll, I'll find
16 out if there is a lobbying team.

17 **MR. ASHEY:** Well, maybe I'm using the wrong
18 word. Instead of lobbying maybe it's who is it that
19 pushes your budget? Who is it that asks for money?
20 Who is it that asks for increases in staff or
21 individual legislation? Who does that? And the
22 question is: Is this issue one of their action
23 items, to get this disparity straightened out, so
24 that people like Chris get their issues covered.

25 **DR. HASTINGS:** And we're very happy to work

1 with ATSDR and this group, but it is a legislative
2 fix that has to happen, and some of that has to come
3 from you, you know, as members of the CAP team, and
4 the, the public at large. But we're very willing to
5 work with you and look at the science with ATSDR,
6 and that's an important component of this. I'm not
7 aware of lobbying and legislating for this, but I'll
8 find out if we have an effort in that area.

9 **MR. ASHEY:** You've got to have a set of
10 priorities before Congress.

11 **MS. CARSON:** This is Laurine Carson from the
12 VBA, and we do have groups on both VHA and VBA side,
13 and we do present a certain number of legislative
14 proposals.

15 **MR. ASHEY:** Right. That's what I'm talking
16 about.

17 **MS. CARSON:** So yes, that's my staff. Yes, we
18 do do that. We come forward with various issues.
19 What I would like to ask you to help me do is what
20 should that legislative proposal be? We are not in
21 that season yet but we will be coming up on that
22 season around June-July. We need ideas for what
23 should be a legislative proposal. I am willing
24 to -- if you want to get with me, I'll give you my
25 card, and I can take that back to my group as an

1 idea for a legislative proposal to be presented up
2 through our chain.

3 **MR. ASHEY:** Well, I don't want to volunteer
4 Chris but he -- I, I think he would be more than
5 willing to sit down with you --

6 **MS. CARSON:** That's fine.

7 **MR. ASHEY:** -- and help write --

8 **MS. CARSON:** Let's talk about --

9 **MR. ASHEY:** -- legislation.

10 **MS. CARSON:** Let's talk about what it is you're
11 talking about. My staff is skilled at it. VHA also
12 has a staff that's skilled at the exact same thing.
13 We have a parallel staff that writes legislative
14 proposals. It's the policy staffs that write it, it
15 generally goes up, Secretary's agenda, President's
16 agenda, sometimes like that too. But I know what
17 you're talking about, and yes, we -- just maybe help
18 us frame up that issue for the legislative proposal,
19 because, in order for us to add anything to the
20 things that VA considers at Camp Lejeune, we do need
21 legislation.

22 **MR. ASHEY:** Thank you, Ms. Carson.

23 **MR. ORRIS:** I have, I have one final question,
24 and this one's directed to our Department of the
25 Navy representative, Mrs. Melissa Forrest.

1 Listening to the VA and the ATSDR talking about the
2 family member program, I would like to know how the
3 Department of the Navy feels that their exposed
4 family members and children are being treated, and
5 whether you agree or disagree that they're being
6 treated well right now, and if you do disagree -- or
7 if you feel that there's more that needs to be done,
8 what will the Department of the Navy do to take care
9 of their exposed spouses and children?

10 **MS. FORREST:** Chris, I feel like you've asked
11 this question before. Just to reiterate what my
12 function is here, I listen to questions, I listen to
13 concerns, and I take them back so that we can
14 support ATSDR's efforts in doing their studies.
15 That question is outside the realm of my function
16 here as a representative of the Navy.

17 **MR. ORRIS:** I agree, and I understand that but
18 I would like you to take that back, and I would like
19 to hear from the Department of the Navy whether they
20 feel that their family members, their exposed family
21 members and children, are being well taken care of
22 with the current legislation, 'cause I don't -- I
23 believe that the last thing the Department of the
24 Navy ever said was that they feel that this issue
25 was being well taken care of with the legislation

1 that is current. And we're hearing from two
2 different agencies in the government where they're
3 saying that there's some disparity again, and
4 something that needs to be done. And I'd like to
5 know if the Department of the Navy, if you can take
6 that back to them, and find out if they want to get
7 on board with everybody else in fixing what seems to
8 be an issue.

9 **MS. FORREST:** I will take that back, but like I
10 said, it's outside of my particular function.

11 **MR. ORRIS:** Thank you.

12 **DR. BREYSSE:** Jerry's sign was up first, so I
13 think I have to respect that. Jerry, you want to
14 let Lori go first? It's up to you.

15 **MR. ENSMINGER:** No. Where's Dr. Erickson?

16 **DR. HASTINGS:** He is in San Diego. Actually
17 he's probably on a plane right now. He was there
18 for the millennium cohort study, which is like a
19 Framingham study, which will follow veterans for
20 about 50 to 60 years.

21 **MR. ENSMINGER:** Okay. I've sent him a decision
22 that was made by Dr. Deborah Heaney a couple weeks
23 ago, and not only is she using some questionable
24 study that she cites in her opinions, she is also
25 using the old NRC report water data.

1 **DR. HASTINGS:** And, and if I could --

2 **MR. ENSMINGER:** And she's -- she is actually
3 stating in her opinions that this individual was
4 stationed at this part of Camp Lejeune and, well,
5 they weren't stationed over here --

6 **DR. HASTINGS:** I know, I know --

7 **MR. ENSMINGER:** That's not supposed to happen.

8 **DR. HASTINGS:** And I know that Dr. Erickson
9 will look at those things, but I will also say that
10 that does fall under DMA so I would also include Dr.
11 Dinesman, but I absolutely know he, he would look at
12 that because he is very conscientious, as you know.
13 If you want to send it to me also I'm happy to look
14 at it, and I can give you my card. And I'm
15 patricia.hastings5, and I don't know why I'm five
16 'cause there's no one through four.

17 **MR. ENSMINGER:** Just give me your card.

18 **DR. HASTINGS:** Absolutely.

19 **MR. ENSMINGER:** Did Erickson give this to you?

20 **DR. DINESMAN:** Not from a couple weeks ago but
21 I've seen one. But please do. Do get with me. I'd
22 be happy to discuss some --

23 **MR. PARTAIN:** I've got it right here.

24 **DR. DINESMAN:** Please do that. But also as we
25 talk about opinions, and I was teasing when I say,

1 you know, you're an expert. You've obviously been
2 able to go through this and form an opinion as an
3 expert, in saying that you thought that this was
4 incorrect. One of the things that I'd like to kind
5 of point out about opinions is everybody has one.

6 **MS. FRESHWATER:** Not when you're talking about
7 medicine. I'm sorry --

8 **DR. DINESMAN:** No, no, no, absolutely.

9 **MS. FRESHWATER:** No, you're not going to go
10 down that road now with medicine.

11 **MR. PARTAIN:** And by the way, Dr. Dinesman,
12 we're not experts.

13 **MS. FRESHWATER:** And we don't claim to be.

14 **DR. DINESMAN:** No, no, but in the world of
15 medicine --

16 **MS. FRESHWATER:** Right, but you're trying to
17 equate something that is a false equivalency, and,
18 and I'm sorry, I don't even want that in the record.
19 With the atmosphere we have.

20 **DR. DINESMAN:** Just one thing to keep in mind,
21 even in the medical world, there are multiple
22 opinions, and I have seen other legal issues in the
23 world of medicine. And you will have -- you'll have
24 experts for both sides, and they're both experts,
25 and they're both going to give you a totally

1 opposite opinion, and it doesn't mean one is right
2 or one is wrong.

3 **MS. FRESHWATER:** It pretty much does, usually,
4 I think.

5 **DR. DINESMAN:** Well, again, that's the legal
6 system.

7 **MS. FRESHWATER:** One, one's opinion could mean
8 someone lives and one's opinion could mean someone
9 doesn't, right?

10 **DR. DINESMAN:** Well, and so -- and so
11 here's -- well, so here is -- and I'll -- and I'll
12 take what you say, 'cause this is not -- for the
13 person doing the opinion, they're going to -- just
14 like an expert, they're going to give you their
15 opinion. The person who then decides is VBA, all
16 right?

17 **MR. ENSMINGER:** Yeah, but the one I just
18 brought up -- we're getting off the track
19 here -- the one I just brought up was stuff that
20 she's using in her opinions that are against the
21 rules, okay? Where the person was stationed aboard
22 Camp Lejeune doesn't matter worth a damn, and the VA
23 Secretary said that.

24 **DR. DINESMAN:** Well, please send that to me.

25 **MR. ENSMINGER:** I will.

1 **DR. DINESMAN:** I'm happy to look at that.

2 **DR. BREYSSE:** So let's just make sure we follow
3 up --

4 **MS. FRESHWATER:** She's been doing it for a long
5 time, and I'm sorry for being a little impatient but
6 this particular person has been doing this exact
7 same thing for years, and it's really hard to take
8 that it's still happening.

9 **DR. BREYSSE:** So Lori, you're -- the reason you
10 raised your card, that was it?

11 **MS. FRESHWATER:** No. I, I found what I was
12 talking about. I had misspoken. It is immune
13 disorders, not auto-immune, so this is the PHA. And
14 it says people -- I just want to make sure it's in
15 the record. People who used water from the Hadnot
16 Point water treatment plant -- it's underneath that,
17 sorry. Children and adults exposed to TCE during
18 1972 to 1985 could be at risk for immune system
19 disorders. So that's in the PSA -- PHA. That's my
20 PSA.

21 **DR. BREYSSE:** So we need to move on to the
22 action items from the previous CAP meeting, and I'll
23 turn to Commander Mutter.

24

25 **ACTION ITEMS FROM PREVIOUS CAP MEETING**

1 **CDR MUTTER:** All right, thank you. So let's
2 start off; we have a few with the VA, and I think we
3 might have covered most of these, so I'll just go
4 ahead and read them. The CAP members asked if the
5 materials being presented during the SME training
6 course are publicly available.

7 **MS. CARSON:** So there's -- this is Laurine
8 Carson, and there is the current FOIA and Yale
9 litigation going on. I will go back and check and
10 see if that's the only way that we can provide that
11 information. Right now I do think that is but I
12 will go check one more time.

13 **CDR MUTTER:** Okay, thank you, ma'am. A CAP
14 member asked if the VA could explain how the SME
15 contract is being graded. I think that has been
16 covered. Wonderful.

17 Total expenditures for Camp Lejeune chart
18 information more -- in a more understandable format
19 to match previous presentations. I know we were
20 going to get to that, revisit that. Thank you.

21 **ATSDR:** ATSDR will follow up to ensure the CAP
22 received the list of environmental health clinicians
23 and coordinators at every hospital in the VA. I re-
24 sent that earlier this week in an email, so let me
25 know if you did not get it.

1 The rest of the action items are for the DoD.
2 The first one: A CAP member asked why DoD claims
3 that contamination ended in 1987, what the DoD plans
4 to do to update their website, their literature, to
5 inform past and current residents of some of the
6 risks and dangers of being born on that base.

7 **MS. FORREST:** This is Melissa Forrest with the
8 Department of the Navy. DoD has not made a claim
9 that soil and/or groundwater contamination ended in
10 1987. Soil and groundwater contamination at Camp
11 Lejeune continued to be addressed under the defense
12 environmental restoration program and Camp Lejeune
13 installation restoration partnering team, which is
14 made up of representatives from the Environmental
15 Protection Agency, the State of North Carolina, the
16 Navy and the Marine Corps.

17 The 1987 date being cited is ATSDR's modeled
18 estimate for when drinking water contamination ended
19 at Camp Lejeune. The state has subsequently been
20 incorporated into Marine Corps outreach as well as
21 the 2012 Department of Veteran Affairs Camp Lejeune
22 healthcare legislation. As discussed at the last
23 CAP, additional information on the progress made on
24 the Camp Lejeune environmental restoration program
25 is available through the Restoration Advisory Board,

1 or RAB. The Camp Lejeune RAB meets quarterly in
2 Jacksonville, North Carolina. More information can
3 be found on the Camp Lejeune website under
4 environmental management division. The address is
5 <http://go.usa.gov/x3f7m>.

6 **MR. ORRIS:** So, and I hate to do a summation
7 with this, but basically what you're saying is that
8 your website states that the drinking water
9 contamination ended in '87 but you do not state that
10 other contamination has been ongoing on that same
11 website; is that correct?

12 **MS. FORREST:** I don't think it addresses,
13 'cause we have a lot of different environmental
14 contamination issues on Camp Lejeune. Like I said,
15 we have a whole program dedicated to that. Anyone
16 interested, please participate in the RAB.

17 **MR. ORRIS:** Wouldn't it be fair to the veterans
18 and their family members who were on that base
19 between '87 and whatever date you're claiming that
20 the rest of the contamination to PCE, TCE, vinyl
21 chloride, wouldn't it be fair to tell them that
22 those chemicals were still present and they might
23 have been exposed just in a different pathway?

24 **MS. FORREST:** You know, there might be a link
25 on that website to the Restoration Advisory Board

1 website. I could take that back as a request, if we
2 could, and somehow advertise the fact that other
3 environmental contamination is addressed under the
4 RAB, and here's a link to that website. I can take
5 that back as a request, if you'd like me to.

6 **MR. ORRIS:** Thank you, because I think a lot of
7 the community feels that the Department of the
8 Navy's stance is is that the PCE and TCE and vinyl
9 chloride contamination did end in '86 or '87, and
10 some of these people who are experiencing health
11 issues or concerns similar to the actual drinking
12 water contamination should be eligible for some kind
13 of care and compensation as well. And I think the
14 first step in doing that would be as, as you just
15 said, the Department of the Navy updating their
16 website to make it clear that, maybe you turned off
17 the tap water, but that doesn't mean that the
18 contamination to those three specific chemicals
19 ended on that date.

20 **MS. FORREST:** I can take that back.

21 **CDR MUTTER:** Thank you. Dr. Hastings, did you
22 have something?

23 **DR. HASTINGS:** I just was going to talk a
24 little bit about the environmental health
25 coordinators and clinicians, that you had asked for

1 the list of. They do not do the, the Camp Lejeune
2 exams. They, they might in some capacity, if
3 they're a care provider. But the clinical
4 coordinators are to help the veterans to navigate
5 the system and get to the right person, whether it
6 be their care provider, to comp and pen or to talk
7 to one of the environmental clinicians. So they are
8 not the ones that are doing the, the determinations.
9 They're not contractors and they're not doing the
10 determinations of benefits for Camp Lejeune. So I
11 just wanted to specify that.

12 They're very helpful. We have one coordinator
13 at every single hospital, and they can help the, the
14 veteran navigate the system, and that's what their
15 primary goal is.

16 **CDR MUTTER:** Thank you. Okay, so let's move
17 on. The next action item is a CAP member asked why
18 it took years to correct the soil vapor intrusion
19 problem when the Navy knew the levels were above the
20 accelerated response levels.

21 **MS. FORREST:** And before I read the response,
22 just to clarify for, for people who maybe weren't
23 here last time, this is in reference to Building
24 HP57.

25 **MR. ORRIS:** Correct.

1 **MS. FORREST:** And it was the Region 9 -- EPA
2 Region 9 accelerated response levels.

3 **MR. ORRIS:** Yes.

4 **MS. FORREST:** Yeah, and I just wanted to
5 clarify that because it sounds kind of like a vague,
6 open-ended question. All right, so the response is:
7 It did not take years for the Marine Corps to
8 respond. The EPA Region 9 guidance was not issued
9 until July 2014. Corrective action, a/k/a, capping
10 the sewer pipe, was completed in November 2014, a
11 few weeks after the October 2014 results were
12 received.

13 Please note, previous guidance from the EPA
14 Region 4, which oversees North Carolina, was to use
15 an action level of 6.3 micrograms per meters cubed,
16 using a hazard quotient of 3, which has never been
17 exceeded at this facility, including the April 2010
18 sampling event, with non-detect, and the April 2013
19 event, with a maximum of 4.4 micrograms per meters
20 cubed, indoor air results.

21 This guidance was provided in 2012 upon request
22 by the Marine Corps. The response time in 2014 was
23 within the parameters detailed in the EPA Region 9
24 TCE guidance, which was utilized by the Camp Lejeune
25 personnel for decision-making.

1 **MR. ORRIS:** Now, 'cause this is in response to
2 my question. And in all fairness, the levels you
3 are talking about previously were an industrial
4 level and not a residential. You were testing for
5 industrial levels at that barracks at that time, and
6 didn't change to a residential until 2014; isn't
7 that correct?

8 **MS. FORREST:** I can't say that that's correct
9 for sure because I think the evaluations looked at
10 it as residential because it's a barracks.

11 **MR. ORRIS:** Your internal memos were
12 categorizing that as an industrial building until --
13 from 2010 to 2012. CHM2(sic) Hill --

14 **MS. FORREST:** I would have to go back and
15 confirm that.

16 **MR. ORRIS:** They refer to HP57 as an industrial
17 building and not a barracks.

18 **MS. FORREST:** Okay. It could still be referred
19 to as an industrial building, but when they do the
20 risk assessment and they do the, the exposure
21 assessment they can still use exposure time frames
22 that are residential. So just because it says it's
23 categorized as an industrial building does not mean
24 it was evaluated with an industrial exposure.

25 **MR. ORRIS:** But you have completely cut off any

1 exposure at that building to pregnant female
2 Marines?

3 **MS. FORREST:** The sewer pipe was capped. We
4 continue to do sampling, and everything seems to be
5 fine.

6 **MR. ORRIS:** Okay, because when Congressman
7 Jones requested more information in regards to this,
8 the Marine Corps responded that nine pregnancies of
9 eight female Marines were potentially exposed at
10 that barracks, with one adverse pregnancy result.
11 And in asking whether they had been -- if you had
12 followed up on those nine pregnancies, to make sure
13 that these were not vapor intrusion-exposure
14 problems, have you done anything with that?

15 **MS. FORREST:** I, I don't know the response to
16 that at this point.

17 **MR. ORRIS:** Wouldn't it be in the Department of
18 the Navy's best interests to take care of their
19 personnel and make sure that these nine
20 pregnancies --

21 **MS. FORREST:** I'm not saying that it hasn't
22 been done; I'm just saying that I don't know the
23 response.

24 **MR. ORRIS:** Can you -- can we do that as a
25 follow-up, and find out whether or not that is

1 something that has been addressed with those -- with
2 those personnel? I know you can't give out the
3 specific -- because of HIPAA, but you can certainly
4 follow up internally.

5 **CDR MUTTER:** Thank you. So let's move on. We
6 have three more action items, then we'll take a very
7 short break. A CAP member asked if there are
8 presently charcoal filtration systems on the
9 drinking water well heads.

10 **MS. FORREST:** No. There are no charcoal
11 filtration systems on potable water supply wells.
12 All water from potable water supply wells is sent to
13 treatment plants for pretreatment prior to
14 distribution. So it's not at the individual well
15 heads.

16 **MR. ASHEY:** Well, your point about, what, maybe
17 15-20,000 gallons an hour out of those -- each one
18 of those wells to supply the base, that's my
19 assumption because it's a pretty big base, neither
20 liquid or dry carbon filtration systems could handle
21 that. So you said that it's handled at the water
22 treatment facility. Are they using air strippers on
23 the inlet side in order to ensure that any
24 contaminants that might possibly be in that water is
25 being stripped out? Which is a pretty inexpensive

1 way to do it.

2 **MS. FORREST:** I know we have a very advanced
3 treatment system, and it's similar to what's done
4 for other public water supply wells, but I don't
5 have the details on the equipment. I wish you had
6 been a CAP member when we did our tour -- when was
7 that? -- a year or so ago, because we did go by the
8 water treatment plants.

9 **MR. ASHEY:** Right.

10 **MS. FORREST:** And they were able to ask all
11 these questions.

12 **MR. ENSMINGER:** There is no filtration process
13 at any of the water treatment plants, to speak of.

14 **MS. FORREST:** But it is tested before -- it is
15 tested to ensure that the water --

16 **MR. ENSMINGER:** Well, no. How often though?

17 **MS. FORREST:** It's in compliance with federal
18 and state --

19 **MR. ENSMINGER:** Yeah, well, that's what they
20 said when the water was contaminated.

21 **MS. FORREST:** That's all I can -- all I can
22 tell you is we have, you know, testing requirements,
23 and we have all the records, and we meet the
24 records, and the drinking water --

25 **MR. ENSMINGER:** Yeah, I know.

1 **MS. FORREST:** -- on Camp Lejeune is treated and
2 tested.

3 **MR. ASHEY:** Surely the geologists that work for
4 CH2M Hill, I believe that's your primary contractor
5 there --

6 **MS. FORREST:** We have multiple contractors.

7 **MR. ASHEY:** Well, the reports I've read have
8 been from CH2M Hill. Sure -- or any of those
9 contractors, their geologists know that the soil
10 there is highly permeable and that resetting those
11 wells is a temporary fix, that the hydraulic
12 gradient created by those wells are going to pull
13 those plumes that are still in the ground towards
14 those wells eventually. And even if those wells are
15 screened below a clay lens -- I think, Jerry, you
16 had mentioned that there's a huge clay lens
17 there -- there's always cracks in those clay lenses.

18 **MR. ENSMINGER:** No. No, it is incomplete clay
19 lenses. I mean, the -- like over Building 22, the
20 dry cleaning plant, over at Area 2 on Main Side,
21 that contamination -- that area had a non-continuous
22 clay layer. And as the contaminants ran down toward
23 the old MP building it went under that building.
24 And then just after it went under that building the
25 clay layer, the confining layer, depleted, and the

1 stuff sort of dropped out the bottom. I mean went
2 hundreds of feet down.

3 **MR. ASHEY:** My point is, Melissa, that
4 what -- you know, we had a serious problem at Camp
5 Lejeune, and a lot of people, a lot of veterans and
6 their families have been affected by that. So what
7 is the Marine Corps and the Department of the Navy
8 doing to ensure that those wells are pulling clean
9 water and that, if those plumes get pulled towards
10 those wells, that the laboratory analyticals and the
11 testing of that water is being done routinely, and
12 that, as a precaution, you would think that there
13 would be, at a minimum, probably air stripping
14 towers that are used on the inlet side of the water
15 treatment facilities. You know, you would put
16 chlorine in there and other stuff but you're not
17 going to get that petroleum or TCE or chlorinated
18 solvents out without an air stripper.

19 And so that's my question: What, what is the
20 military doing, what is the Department of Defense
21 doing to ensure that the next generation of Marines
22 does not suffer the consequences that past
23 generations of Marines have suffered at Camp
24 Lejeune? That's my question.

25 **CDR MUTTER:** Mike, let me read the remaining

1 questions. I think they are built into what you
2 were saying as well.

3 **MR. ASHEY:** Right. It's all tied in together.

4 **CDR MUTTER:** Yeah. So I'm just going to
5 read --

6 **MS. FORREST:** Because we do do some voluntary
7 testings.

8 **CDR MUTTER:** Okay. How often is the water from
9 well heads tested, along with: Are those
10 analyticals from those tests posted anywhere, and if
11 so, where?

12 **MS. FORREST:** So I'll read both of those
13 responses together. So all potable water supply
14 wells are currently tested for a variety of
15 contaminants semiannually, including but not limited
16 to VOCs, SVOCs, metals and explosive constituents.
17 This testing is a voluntary Marine Corps initiative
18 and not required by the EPA safe drinking water act
19 or State law. So we are testing the well heads
20 twice a year.

21 Voluntary potable water supply well sampling
22 results, the detections only -- if it's not
23 detected, it's not reported -- have been reported
24 publicly since 2011, with metals added in 2012.
25 Non-detect results are not reported. These results

1 can be found online, either in the annual water
2 quality reports, from 2011 to 2014, or in a separate
3 report, 2015 and later. So you can find it with our
4 water quality reports.

5 So we, we are doing -- I am not a geologist, a
6 hydrogeologist, or an expert in water treatment, but
7 I can tell you that we have an advanced water
8 treatment system, and we do testing. What I've
9 been -- what I have been told exceeds what's
10 required for a distribution system. So we do test
11 that water on a regular basis.

12 You know, we don't want anything -- we don't
13 want people to be exposed to, you know,
14 contamination that we can prevent. We test
15 according to federal and state regulations, I mean.
16 The TCE example, what happened in the 1980s, you
17 know, it wasn't regulated at the time, so.

18 **MR. ENSMINGER:** Nah.

19 **MS. FRESHWATER:** No, Melissa, don't do that.

20 **MS. FORREST:** I'm just saying, but to say that
21 we're not testing now, the, the water is tested on a
22 regular basis.

23 **MR. ENSMINGER:** Okay, but don't go -- don't go
24 to it wasn't regulated back then.

25 **MS. FRESHWATER:** We're protect -- being

1 protective on this. I just have a really quick --

2 **MR. ASHEY:** So I can continue talking.

3 **MS. FORREST:** Oh, I haven't finished reading
4 the websites where you can find all these reports.
5 Hold on, I'm not done yet.

6 **MR. ASHEY:** Okay, go ahead.

7 **MS. FORREST:** Okay, annual water quality
8 reports can be found at -- I'm going to leave off
9 [http://stuff -- www.lejeune.marines.mil/offices-](http://stuff--www.lejeune.marines.mil/offices-staff/environmental-mgmt/annual-reports)
10 [staff/environmental-mgmt/annual-reports.](http://stuff--www.lejeune.marines.mil/offices-staff/environmental-mgmt/annual-reports)

11 **MR. ENSMINGER:** Geez.

12 **MS. FORREST:** Yeah. Now, so that will be in
13 the transcripts. Okay. And I could also -- if you
14 want to come at the break I can give this to you, if
15 you're really interested.

16 **MR. ASHEY:** I was hoping maybe you would invite
17 us all back to the base so I can walk around the
18 water treatment facilities --

19 **MS. FORREST:** I, I, I so wish you had been
20 there.

21 **MR. ASHEY:** -- and see it for myself.

22 **MS. FORREST:** I so wish you had been there when
23 we had the tour.

24 **MR. ASHEY:** Well, I --

25 **MS. FORREST:** Yeah, you missed it by what --

1 **MR. ASHEY:** -- I didn't know Mike and Jerry
2 until after that.

3 **MS. FORREST:** I think you might have missed it
4 by one meeting. Yeah. Okay, voluntary sampling
5 results for 2015 and later can be found using the
6 links on the above mentioned website. Under annual
7 reports look for voluntary monitoring detected
8 contaminants and water supply wells metals
9 detection. Or you can use the direct links below.
10 Here's another nice long one: [www.lejeune.marines.
11 mil/offices-staff/environmental-management/annual-
12 reports/voluntary-monitoring-detected-contaminants.](http://www.lejeune.marines.mil/offices-staff/environmental-management/annual-reports/voluntary-monitoring-detected-contaminants)

13 **MR. ASHEY:** Have you got all that, Jerry?

14 **MS. FORREST:** -- .aspx.

15 **MR. PARTAIN:** Why doesn't the Marine Corps just
16 update the usmc.mil site on the Lejeune page with
17 all this, rather than go through this litany?

18 **MS. FORREST:** I, I am not -- I can't tell you
19 exactly why different reports are in different
20 areas.

21 **MR. ENSMINGER:** I think the best question is:
22 Are the plumes being monitored? So are they being
23 pulled toward operating --

24 **MS. FORREST:** The plumes are being monitored,
25 and that, that is where I keep talking about

1 participation in the Restoration Advisory Board.

2 That is your best resource to go.

3 **MR. ASHEY:** Well, this -- this is not -- yeah,
4 but it's not a restoration issue. I understand what
5 you're saying, put that portion is. But my question
6 is about prevention. My question is about what are
7 you doing to protect base personnel, state personnel
8 that work on the base, military personnel that work
9 on the base. Not like Marines but Navy, Army, all
10 branches of the service work on that base.

11 **MS. FORREST:** Well, I think that flows into
12 what we were talking about, though, the connection
13 with the Restoration Advisory Board with the
14 environment clean-up program, is that that is the
15 program that is monitoring those plumes, because
16 they are still included in that program. And so
17 that information is what feeds over to, you know,
18 the side of the house that does the treatment plant
19 and the production of the finished water. So those
20 two are working together. I mean, it's not that --

21 **MR. ASHEY:** Well, you -- I get that. And, and
22 thank you for providing all that information. But I
23 also know that plumes, underground plumes, in highly
24 permeable soil are unpredictable. And I know you're
25 not a geologist but I will tell you that any

1 geologist who doesn't say that, who is familiar with
2 contamination, probably ought not to be working in
3 the contamination industry.

4 So the -- I, I guess where I'm going with this,
5 you know, I think it would be prudent for those
6 facilities to have -- and my guess is they probably
7 have some type of air stripping system, either a
8 tray air stripping system or some kind of stack air
9 stripping system, that's in place as a precautionary
10 measure, just to make sure that, if they miss
11 something, or within the four- to six-month period,
12 you know, you could have a plume that can, that can
13 hit one of the -- one of the depressed areas within
14 the groundwater and just start pulling
15 contaminations. And it can go on for months and
16 nobody would know it.

17 **DR. BREYSSE:** So Mike, can we get the -- the
18 request is can you describe a specific water
19 treatment --

20 **MS. FORREST:** Say, is that the request that I'm
21 hearing: Would you like a general description of
22 our water treatment plant --

23 **MR. ASHEY:** Well --

24 **MS. FORREST:** -- process equipment?

25 **MR. ASHEY:** Just for removal. Volatile

1 removal.

2 **MS. FORREST:** For, for volatile removal?

3 **MR. ASHEY:** I just want to know is there an air
4 stripping system at the -- 'cause you have more than
5 one water treatment plant, right?

6 **MS. FORREST:** I, I think that there is but I
7 just can't answer for sure. I can't remember. I
8 can't remember. There's not something -- let me
9 take it back and get --

10 **MR. ENSMINGER:** There's an air stripping plant
11 that's over along Piney Green Road but that is for
12 the plume that's under Lot 203. So but that's
13 site-specific; it's not for drinking water. That's
14 a pump-and-treat plant.

15 **DR. BREYSSE:** Okay. Good, so Lori, one quick
16 question and then we'll take a break.

17 **MS. FRESHWATER:** I just -- I, I might have
18 missed this. Going back to one person was asking
19 about the barracks that had the vapor intrusion
20 incident. Is that being tested with OSHA standards,
21 or?

22 **MS. FORREST:** No. They're comparing -- for the
23 TCE they're now comparing it to that EPA Region 9
24 rapid response guidance that's out there.

25 **MS. FRESHWATER:** Was it at one point were they

1 using OSHA standards?

2 **MS. FORREST:** It wasn't OSHA. It was still the
3 State of North Carolina screening levels. It was
4 still looking at -- the standard, they were using
5 residential exposure scenarios so they were not
6 looking at the number of hours that you would be
7 exposed in an industrial. They were looking at it
8 as residential. But I -- in part of my response I
9 explained how the screening value used to be 6.3
10 micrograms per meters cubed, but it's lower now
11 because it's in line with the EPA Region 9 rapid
12 response.

13 **MS. FRESHWATER:** But no, that's where that
14 happens in --

15 **MS. FORREST:** Yes.

16 **MS. FRESHWATER:** All right, thank you.

17 **MR. ASHEY:** I just have one more comment,
18 please, and then I'll be done. You mentioned that
19 you're following EPA standards and guidelines and
20 probably the guidelines of the State EPA. The
21 problem with that is those guidelines were never
22 designed to address an issue like Camp Lejeune. I
23 don't think anybody ever contemplated such a massive
24 contamination issue as what showed up on this
25 military base with exposure of tens of thousands of

1 people.

2 And in Florida we don't have protocols designed
3 to deal with a problem that big, and probably most
4 of the states don't either, because no one could
5 imagine such a massive problem having occurred over
6 a long period of time and no one not knowing it;
7 although the people that worked at that water
8 treatment plant knew there was a problem; I
9 guarantee that, because as soon as that
10 underground -- as soon as that water was exposed to
11 ambient air all that stuff started to volatilize
12 out. There's no way they could've not known there
13 was a problem, and yet nobody ever said anything.

14 **MR. ENSMINGER:** They know it now. They're all
15 dead.

16 **MR. ASHEY:** Yeah, they know it now. So it's
17 just a precaution that following EPA guidelines --
18 'cause I helped write some of those guidelines back
19 in 2000, 2002, 2003, 2004, when we were looking
20 at -- we were in EPA District 5, and we were looking
21 at those things. No one ever contemplated something
22 like this. It never occurred to anybody.

23 And quite frankly the day I retired from state
24 government I got a notice about Camp Lejeune, and I
25 thought it was a joke because I was running the

1 largest petroleum clean-up program in the United
2 States. I thought my staff put together this
3 document and sent it to me just as a joke. I was
4 horrified at the numbers. I know what those numbers
5 mean. Three thousand ppb in drinking water is like
6 drinking gasoline.

7 So no one ever contemplated this. And EPA
8 standards, they still, for these massive
9 contamination plumes on military bases, you guys got
10 to be doing something different. You know,
11 semi-annual testing is for stable plumes. You don't
12 know if that plume is going to stay stable. And I
13 realize, Melissa, this is not you, but somebody
14 needs to take a look at what the protocols need to
15 be on a base where you have a problem like this.
16 Semi-annual testing is not enough.

17 **DR. BREYSSE:** Okay. John, do you --

18 **MR. MCNEIL:** That was sort of where I was. If
19 it only takes 30 days to inflict 15 conditions on
20 people, why is the DoD testing once every six
21 months?

22 **MS. FORREST:** That, that was specific to that
23 voluntary well head testing. That is not treated
24 water coming out of our treatment plants that we're
25 distributing to people for drinking water. That was

1 where Mike had asked a specific question, if we had
2 filtration on the well heads, and that is what's
3 only done semi-annually. There is much more --

4 **MR. MCNEIL:** Okay, I'm not --

5 **MS. FORREST:** -- frequent testing done on the,
6 the treated water.

7 **DR. BREYSSE:** All right. So could we take a
8 ten-minute break? So I have -- let's be back here
9 at 6:55.

10 (Break 6:47 till 7:00 p.m.)

11 **DR. BREYSSE:** I want to start off with an
12 announcement. Tomorrow a company's going to be here
13 who wants to film the public meeting, which of
14 course they're free to do. Public access and local
15 affiliates of Cortland, NY. I just want to let
16 people know that there will be a camera in the room
17 tomorrow filming us. We'll make that announcement
18 again in the morning but I just thought I'd let us
19 know up front. Since it's a public meeting they
20 have a right to be here for that.

21 So I'd like to now turn to Rick Gillig to talk
22 about the soil vapor intrusion aspect of the public
23 health assessments.

24
25 **PUBLIC HEALTH ASSESSMENT UPDATES**

1 **MR. GILLIG:** So again, my name is Rick Gillig,
2 for the record. As far as the vapor intrusion work
3 plan, I've talked about that the last couple of CAP
4 meetings, we have addressed the peer review
5 comments. It is now in preclearance. I've just
6 received a copy of it yesterday. We plan on putting
7 it in the official clearance process by the end of
8 next week.

9 We've worked with an SME on addressing all the
10 comments. My expectation is that it will go through
11 the clearance process fairly quickly; I'm hoping in
12 a matter of two or three weeks.

13 In the meantime we are doing work on all the
14 data we have, working with a couple of computer
15 programmers. They've been looking at the data sets.
16 They're writing the programs so that we can analyze
17 the data. So we're not just waiting until we have
18 the work plan cleared before we start analyzing the
19 data.

20 Again, as we've talked over a number of
21 meetings, the data we're looking at, we're looking
22 at environmental sample results, that was pulled
23 from our document library that we've discussed in
24 previous meetings. We've got additional
25 environmental sampling data sets from Navy

1 contractors. Those were spreadsheets that have a
2 lot of the environmental sampling results as well as
3 what we've pulled from documents. We have
4 groundwater modeling results. That was done under
5 Morris's project. That model was done by the
6 Georgia Institute of Technology. They looked at
7 surficial levels of contaminants from several areas
8 of the base. We've also collected additional
9 information on the 14,000-plus structures at Camp
10 Lejeune. Chances are we'll continue to collect
11 information on these buildings.

12 So again, we've done the data analysis. We
13 expect to have the draft report ready for peer
14 review, that's the health assessment, in early 2019.
15 And Jerry, it's a lot of information to go through.

16 **MR. ENSMINGER:** Oh, I know. I know. Damn, I
17 mean, we've been working on this project since '91.
18 1991.

19 **MR. GILLIG:** Well, the vapor intrusion we
20 started in --

21 **MR. ENSMINGER:** Yeah.

22 **MR. GILLIG:** -- 2012.

23 **MR. ENSMINGER:** I know. We're getting there.

24 **DR. BREYSSE:** Mike?

25 **MR. ASHEY:** Rick, you mentioned that you got V-

1 I sampling data sets from Navy contractors.

2 **MR. GILLIG:** It was environmental --

3 **MR. ASHEY:** Yeah. CH2M Hill and other --

4 **MR. GILLIG:** And contractors.

5 **MR. ASHEY:** -- contractors. Do you know
6 if -- this is the issue I brought up before, and I'm
7 sorry I keep harping on it -- this data set for
8 biosparge and air sparge, were you able to discern
9 anything from the data sample sets you got from CH2M
10 Hill on that issue?

11 **MR. GILLIG:** Not this time.

12 **MR. ASHEY:** Okay. I mean, from, from --

13 **MR. GILLIG:** We know where the systems are. So
14 on a map we can map those systems basically, where
15 they are. So when we look at that data we'll take
16 that into consideration.

17 **MR. ASHEY:** But the data sets that you got from
18 them, you can't discern from those data sets, bio
19 sparge from air sparge; it's just a set of data sets
20 for sampling.

21 **MR. GILLIG:** Correct.

22 **MR. ASHEY:** Okay. That's what I was afraid of.

23 **DR. BREYSSE:** Anything else, Rick? Ken?

24 **DR. CANTOR:** Yeah. So could you expand a
25 little bit on the output of this effort in terms of

1 exposure assessment? Is that the ultimate aim, to
2 put some maximum/minimum parameters on what exposure
3 could've been, might've been during certain periods
4 of time?

5 **MR. GILLIG:** Yeah. One of the limitations with
6 doing this project is that we will be modeling
7 modeled data in many cases, and the level of
8 uncertainty would be very large. Our first effort
9 will be to identify buildings to look at further.
10 With 14,000 buildings we want to do an algorithm to
11 narrow that list to the ones that we feel are most
12 likely to be impacted by vapor intrusion. And then
13 we'll do a building-by-building analysis based on
14 the environmental data that we have.

15 Of course there are indoor sources in many of
16 these buildings. It's going to be a challenge. And
17 coming out with specific exposure doses to the
18 people that occupied those buildings may be
19 impossible. But we will know which buildings had
20 the greatest likelihood. And of course the people
21 that occupied those buildings, if they're
22 residential or if they worked in those buildings,
23 for a number of the other building uses, we'll know
24 that they were exposed to additional, or likely
25 exposed to additional, contamination that was the

1 result of vapor intrusion.

2 **DR. BREYSSE:** Good. Another question? Mike?
3 Sorry.

4 **MR. PARTAIN:** I'm dead to you, I guess. Two
5 quick questions. One, Rick and Dr. Breysse, when
6 y'all are doing the data analysis and calculations
7 and stuff, if something comes up to where there is
8 potential exposure that may be ongoing that was not
9 seen, is there a plan in place to get that out or to
10 address that?

11 And then two, and this is directed towards
12 Rick, on the data sets, as far as the data that you
13 all have collected in the documents and stuff, are
14 you seeing anything with the USTs from the family
15 housing areas? I know Jerry and I have talked to a
16 couple of families, one in particular, where houses
17 -- where their old house was surrounded by a fence
18 and a void, 'cause apparently the UST was leaking,
19 and they came and dug everything up. Have you seen
20 anything like that in the documents? 'Cause I know
21 that wasn't --

22 **MR. ENSMINGER:** No, the house is gone.

23 **MR. PARTAIN:** There's a void where the house
24 was. But Morris didn't capture that in the water
25 model because these were, you know, individual

1 houses and stuff. Have you guys seen anything about
2 UST removals and potential contaminants in the
3 family housing areas?

4 **MR. GILLIG:** That's hard to answer until we do
5 our analysis, Mike. I know a lot of the sampling
6 they did for those fuel tanks at the homes, often
7 they did it with crude equipment. And they
8 basically were testing for organic vapors. I mean,
9 that's PID data. So I don't know if they did much
10 more sampling than that or not. It's, it's hard to
11 say; we'll look into that.

12 **MR. PARTAIN:** Would it show up in like soil
13 samples for the extraction tanks extractions? I
14 don't know if they did it -- like, you know, with
15 the Hadnot Point fuel farm, when they did the tank
16 extraction there was a report, soil samples, and
17 that's what Morris generated the water model from,
18 some of the data from. I don't know if that same
19 thing was done, you know, with a 50- or
20 hundred-gallon tank for use in a home, but I know
21 it's a problem because we've been contacted by
22 families that have brought that up.

23 **MR. GILLIG:** Well, if they did environmental
24 sampling and it was in the reports, then we'd be
25 able to look at thousands of reports, we would have

1 that data in our data set. I'm just not certain.

2 **DR. BREYSSE:** And what was the first part of
3 your question?

4 **MR. PARTAIN:** Just if -- when you're doing the
5 data runs, if it becomes apparent or, you know, that
6 there is a problem or an ongoing problem that may
7 have been missed, how is that going to be addressed?

8 **MR. GILLIG:** Well, I assume that we will
9 address it if we identify something of concern,
10 probably via a letter from ATSDR to the base,
11 basically saying we found this issue.

12 **MR. PARTAIN:** Can I be copied on that letter,
13 if that happens? Hopefully it doesn't but, you
14 know, for the public to know too.

15 **MR. GILLIG:** Sure. I don't think that would be
16 a problem. I'm looking to Pat for a nod.

17 **DR. BREYSSE:** I don't think it'd be a problem.
18 We'd certainly share with you that we found a
19 concern, and we've alerted the base about it.

20 All right, and I'd like to shift now to the
21 health studies update. Dr. Bove?

22

23 **HEALTH STUDIES UPDATES**

24 **DR. BOVE:** I'm going to start with the cancer
25 incidence study first. That's the study that's

1 ongoing where we're working with as many state
2 cancer registries, the VA registry, the DoD registry
3 and also the registries in Puerto Rico and the
4 territories.

5 So right now we have 43 confirmed registries,
6 39 states. We're working hard to get four states in
7 particular on board: Missouri, Texas, New Mexico
8 and Florida. They're important states for us.
9 Indiana, we're going to submit near when we want
10 them to give us data 'cause that's not what they
11 asked us to do. Illinois hasn't done any studies
12 for many years because they lack the staff, and so
13 we're going to see what we can do with Illinois.
14 It's an important state. We're going to try
15 to -- when we get a contractor on board, and I'll
16 talk about that in a second, we'll see if the
17 contractor can't do the matching for the state, and
18 that would work for them, so.

19 There are two states that can't do it, Kansas
20 and West Virginia, because of state law, but I don't
21 expect those two states to be that important in the
22 mix of things. The other outstanding states are
23 North Dakota, South Dakota and Maine. We'll pursue
24 them but we want to get the other four I just
25 mentioned first. We want to get Missouri, Texas,

1 New Mexico and Florida.

2 So we reviewed proposals from contractors.
3 We've selected a contractor. There is still some
4 preliminary -- some, some additional work that needs
5 to get done to, to finalize that. We're also going
6 to be meeting with the Navy to go over the amount
7 the contractor thinks -- propose -- for the cost,
8 it's reasonable, and I think that there won't be any
9 problems there but we'll see. But there shouldn't
10 be any. Hopefully we'll have a contractor on board,
11 I'm hoping, by the end of May, but again, I can't
12 promise anything. It's something I don't deal with,
13 so I don't know how our office, our grants and
14 contracts office, works, so it may take longer.

15 But I'm hoping that they're on board by the end
16 of the month, and then they'll start working
17 immediately to -- the first step would be of course
18 me giving them the data they need, and then they
19 doing a search to find out if the people are alive
20 or dead, to find out the vital status basically, and
21 then sending that to the national death index.

22 So we'll be updating the mortality studies as
23 part of this effort. So there's really going to be
24 four -- at least four studies out of this: Two
25 mortality, two cancer incidence studies. There's

1 going to be a lot of reports coming out of this, as
2 we go. So that's the status right now of the cancer
3 incidence study.

4 Now, we released -- we finally were able to
5 release what we're calling the morbidity study.
6 It's the health survey-morbidity study, and it's on
7 our website. There's a fact sheet that we'll be
8 handing out to the public tomorrow that come to the
9 meeting. So there's a lot of findings in this
10 study, and I'm not going to go through all of them;
11 it would take all day. But you have all the
12 information. I'll go over some of the issues with
13 this study and also what we think it does say.

14 Just so you know, and I think you all know,
15 that this was an Act of Congress, the National
16 Defense Authorization Act of 2008, that mandated
17 this survey to be done, and requested ATSDR develop
18 the questionnaire, and we did so and we carried the
19 study out actually.

20 And so we had it initially about a little over
21 310,000 people that we identified as -- that we had
22 information on. That included all the DMDC, defense
23 manpower data center, personnel records from '75 to
24 '85. And also for Marines and for workers we had it
25 from '72 to '85. And we used that information plus

1 those who participated in our 1999-2002 survey that
2 was our basis for our birth defect study. So
3 we -- the contractor tried to get addresses for all
4 these people. About 20 percent we were unable to
5 get addresses. And so we dropped down to 247,000
6 that were -- that complete addresses that were
7 mailed.

8 The participation rate wasn't great, but in
9 general, surveys that are mailed do not have good
10 participation rates. That's true of the millennium
11 cohort; it's true of the census, when they mail it.
12 They have to go door to door to really get the
13 participation, I mean, even though it's required to
14 fill these out. So it's not unusual that this
15 happened. But it did mean that we had small numbers
16 of some of the diseases to evaluate. And when you
17 have small numbers of diseases to evaluate, you have
18 a lot of uncertainty. You have what's called wide
19 confidence intervals, and it gets hard to interpret
20 the findings. So I'll go into that a little bit
21 more.

22 **CDR MUTTER:** Can you advance the slide,
23 please? Just the next one.

24 **DR. BOVE:** Well, anyway, so the number that
25 actually completed the survey was a little over

1 76,000. The participation rate was about a little
2 under 30 percent. In particular in the Marines, the
3 participation rate was around 28 to 30 percent. For
4 the workers it was a little bit higher. But it
5 still meant that -- this is the number that we
6 actually were able to analyze. This includes people
7 who filled out the HIPAA forms -- people who
8 reported a disease, in order to confirm it we asked
9 them to fill out a HIPAA form and so that we could
10 go get their medical records and confirm their
11 reports. So this is the final number of people we
12 were able to evaluate, who participated and also
13 participated in the HIPAA part, where we verified
14 the diseases.

15 So as you can see there's not that many
16 civilian workers that we had to analyze.
17 2,466 workers is not a lot to -- for a sample,
18 especially with these rare diseases. We had more
19 from the Marines. So many of the endpoints we had
20 more cases to evaluate so that we had less
21 uncertainty for some of those estimates.

22 **DR. CANTOR:** Can I interrupt with a question
23 about --

24 **DR. BOVE:** Yeah, and then you can interrupt me
25 any time.

1 **DR. CANTOR:** Okay, so the numbers say that the
2 response rate from Camp Pendleton was much worse
3 than from Lejeune. Is that --

4 **DR. BOVE:** Not much worse.

5 **DR. CANTOR:** -- a proper interpretation?

6 **DR. BOVE:** No.

7 **DR. CANTOR:** It's not a proper interpretation.

8 **DR. BOVE:** No. It was --

9 **DR. CANTOR:** 'Cause you had 56,000 Camp Lejeune
10 and 9,600 --

11 **DR. BOVE:** Oh, okay. So some of the -- it's
12 not -- okay. So what happened, actually the
13 participation rate was pretty similar. It was
14 30 percent for Camp Lejeune Marines and 28 percent
15 for Camp Pendleton. We included those Marines who
16 started before '75 but were at the base any time
17 between '75 and '85. For those people who started
18 before '75 we don't have complete information on
19 their military record. So some of those we thought
20 were at Pendleton, turns out they also were at
21 Lejeune. We didn't know that until we did the
22 survey. So they shifted. So some of the Camp
23 Pendleton people that we had surveyed actually were
24 at Lejeune. So and you can see that from the table.
25 I think it's table 1, where some of them, about

1 2,000 or so, shift. So that cuts down on the
2 Pendleton people.

3 So the survey went through and it requested
4 information on diseases, on occupational exposures,
5 the usual questions that a questionnaire asks. And
6 in order to evaluate, first we compared Camp Lejeune
7 to Camp Pendleton, and that comparison's
8 problematic, and I'll go into that in a minute, why
9 that's problematic. But we did that comparison. We
10 also did what's called a nested case control sample,
11 and we did that for the Marines because we needed to
12 use various databases to figure out where they
13 lived. Some of the answers we got from Marines was,
14 either it wasn't clear where they lived or they
15 didn't know. And we had to go back and use the
16 family housing records and also whatever we could
17 get from the DMDC information to help us figure out
18 where they lived.

19 So we didn't want to do that for 56,000. We
20 just didn't have the staff to do that. So we did a
21 sample instead. And so the sample was all the cases
22 of reported diseases that were confirmed, in both
23 Pendleton and Lejeune, and that's the case series.
24 And then we took a sample of all the Marines, both
25 Pendleton and Lejeune, figured that if we have them

1 all in a pot, we just took a sample. And that would
2 be the controls. Okay? So that's how we did that
3 analysis.

4 And with that analysis we looked at cumulative
5 exposure to the -- at their residence. And we don't
6 have information on training or anything of the sort
7 but we do have information on residence. So we used
8 that in the analysis.

9 So that's basically what we did. And I think
10 that, as I said, there are a lot of findings and
11 there are a lot of limitations. So maybe I should
12 start with the limitations and then go over the
13 findings.

14 The first problem, as I mentioned, there's a
15 low response rate. What that means is that we have
16 small numbers of confirmed cases to evaluate. And
17 when we have small numbers we have wide confidence
18 intervals and a lot of uncertainty.

19 Now, some epidemiologists, when they see a wide
20 confidence interval, like some of the ones you'll
21 see in the tables in the report, would discount the
22 finding altogether. We don't do that, but we do
23 have to acknowledge there's a lot of uncertainty
24 nonetheless. So when you see a very wide confidence
25 interval that means that there's a lot of

1 uncertainty. It doesn't mean the finding should be
2 ignored; it just -- but it's important to keep that
3 in mind.

4 But a bigger problem and a much more serious
5 problem with the survey was selection bias, and that
6 happens especially with the comparisons between Camp
7 Lejeune and Camp Pendleton. The Camp Lejeune -- at
8 the time of the survey there was a lot of media
9 reports around male breast cancer, for example. So
10 there was a lot of information out there. And you
11 can see that in the actual male breast cancer
12 finding where there were no male breast cancers from
13 Camp Pendleton at all. And that tells you that
14 there must have been some; they just did not
15 participate. But the male breast cancers at Camp
16 Lejeune were more likely to participate, and that's
17 probably true for almost all the diseases, that the
18 Camp Lejeune Marines and workers were more prone to
19 participate if they had a disease versus Camp
20 Pendleton. So the comparisons, any comparison
21 between Camp Pendleton and Camp Lejeune is
22 problematic in this survey for that reason.

23 So one way we tried to deal with this problem
24 is to focus on the analyses where we just looked at
25 Camp Lejeune, and we looked at cumulative exposure

1 to the residence -- residential exposure to the
2 drinking water because we figured that that was not
3 as likely to have a selection bias problem. People
4 wouldn't know what their cumulative exposure was.
5 And so that wouldn't have affected the
6 participation. So even if they were diseased and
7 participated more, there wouldn't be a connection
8 with their exposure status, so there wouldn't be a
9 selection bias problem. So we thought that that was
10 the analyses least likely to have the problem, and
11 that's the one we focused on. So if you see
12 the -- if you go through the executive summary or in
13 the report in general, that's why we focused on the
14 internal -- what we're calling the internal
15 analysis, the analysis just looking at Camp Lejeune
16 and looking at their residential cumulative exposure
17 to the drinking water.

18 We have problems in general with exposure
19 assessment, and that's true for all the studies.
20 It's true for environmental epi, or occupational epi
21 for that matter. We always have problems with
22 estimating exposures. There are errors there.
23 Oftentimes it makes it hard for us to detect an
24 effect when there really is one there. It also
25 makes it hard to see a nice, smooth exposure

1 response curve. The curves can go -- all kinds of
2 shapes we can get when we have that kind of error in
3 estimating exposure, and it occurred in this study
4 as well. And again, as the slide says, wide
5 confidence intervals.

6 So let me back up, Ken, yeah. So we decided to
7 focus our attention on those findings where we had
8 an odds ratio, or a risk ratio, whatever you want to
9 call it, of greater -- of equal to or greater than
10 1.5, so that's a 50 percent higher excess in the
11 high exposure group versus the low exposure group.
12 And we also -- for the internal comparison, right.
13 Again, I'm focusing only on the internal comparison
14 because of the problems I just mentioned about
15 selection bias.

16 So we also wanted to emphasize not only that,
17 but if we evaluated it in the ATSDR assessment,
18 which has been talked about, where we -- the VA had
19 asked us to assess various diseases for the evidence
20 for TCE and PCE for the presumption. So we used
21 that report. So if we saw an odds ratio of greater
22 than 1.5 or equal to 1.5 for a particular disease in
23 the internal analysis and the assessment indicated
24 there was at least as likely as not or higher
25 evidence, that's the ones we emphasized.

1 If we didn't assess the disease in that
2 assessment then we looked to the mortality study for
3 the finding. So it's kind of complicated. And a
4 lot of this was given back and forth within the
5 Agency, trying to figure out the best way to
6 interpret these results and present them. So I
7 guess if someone else did it they might do it
8 somewhat differently, but again, we didn't want to
9 ignore findings, even if there was a lot of
10 uncertainty, and we wanted to use some other way of
11 presenting the results and emphasizing results that
12 we thought might be the most important.

13 So based on that, we saw an increased risk of
14 kidney cancer, which we would expect, bladder cancer
15 and PCE, which we'd expect, kidney disease, and
16 Parkinson's disease just in the civilian workers.
17 And the civilian workers were much older than the
18 Marines in this study, and in our mortality study
19 too, and Parkinson's disease is a disease of older
20 people. So we think that the civilian information,
21 the civilian part of the study on Parkinson's is
22 important. We also saw Parkinson's disease
23 mortality in the civilian study that we published
24 back in 2014. And again, there's literature
25 evidence on Parkinson's disease. That's why it's in

1 the presumptive list.

2 So these are the key findings we thought we
3 wanted to emphasize. But again, there are a lot of
4 findings in this study. And, you know, you might
5 find -- you may decide that another finding's
6 important. And again, keep in mind that a lot of
7 findings, there are those wide confidence intervals
8 so that does mean that there's some uncertainty in
9 that risk estimate, and that makes it a weaker
10 estimate. But again, as I said, some
11 epidemiologists would ignore those findings; we
12 don't.

13 So I'm going to stop there 'cause it's getting
14 late, and I want to hear some questions. If you
15 have some questions about what we did, about the
16 findings, or whatever. So Lori.

17 **MS. FRESHWATER:** I wanted to go back to the
18 cancer incidence study and the four states. Is
19 there anything in common that is -- it's different
20 issues with each state?

21 **DR. BOVE:** No, and this is why these studies
22 are hard to do. There's only one other study that
23 I'm aware of that have tried and used most of the
24 state cancer registries, the Seventh Day Adventist
25 study, where they got consent. We don't have

1 consent. This is a data linkage, so this is the
2 first time this is being done, a data linkage
3 effort. And it's extremely difficult to get each
4 state on board. Each state has a different process.

5 We're trying -- there's an effort to try to
6 streamline that for future studies. And we've been
7 active in helping that effort along but it's not
8 there yet, and it won't be there for this study, and
9 so we've had to go to each state and work through
10 their process. And Florida in particular has been
11 difficult. They have a lot of hoops -- sorry, Mike.
12 But they have a lot of hoops. And Texas also seems
13 to be difficult, more difficult than some of the
14 other states. So but we are confident that we'll
15 get them on board; it's just taking a while.

16 **MS. FRESHWATER:** So there's nothing really that
17 we can do?

18 **DR. BOVE:** Not yet, no. I want to see how the
19 contractor deals with them as well 'cause I think
20 the contractor will have more leverage to -- and
21 we're expecting that, but I can't say who the
22 contractor is, so. But I have a feeling that -- not
23 a feeling, but I'm pretty sure that they can help us
24 get these states on board.

25 **MS. FRESHWATER:** And do you think that -- I'm

1 trying to phrase this carefully -- do you think
2 that, if we -- when we succeed in getting most of
3 these states, or all of these states, on board, do
4 you think this might be a good leap forward in the
5 effort to get a national registry?

6 **DR. BOVE:** I hope so. I mean, that was part of
7 my motivation for wanting to do this study. But
8 that's going to take legislation, of course.

9 **MS. FRESHWATER:** So we'll be able to help with
10 that when that comes --

11 **DR. BOVE:** Yeah. Yeah, it's very important.
12 As I said, there is an effort by the North
13 American -- I always have problem with this -- North
14 American Association of Central Cancer Registries.
15 That's the -- basically the trade group for all the
16 registries, if you will. They're involved with
17 coming up with this streamlined process, at least to
18 have one place where you can get all of the IRB,
19 state IRBs, dealt with, one form that all the states
20 will accept. These are important steps. It's still
21 far away from a national registry but they're
22 working on that.

23 As I said, we actually gave them Camp Lejeune
24 data to start that process so we're very much
25 involved to trying to push this that way.

1 **MS. FRESHWATER:** Well, let us know if there's
2 anything we can do, 'cause I do know the importance
3 of it.

4 **MR. PARTAIN:** Frank --

5 **DR. BREYSSE:** Well, wait, wait.

6 **DR. BOVE:** Wait. Chris, you have yours up.

7 **MR. ORRIS:** First off, thank you very much,
8 Frank, for all the work that you have done. Thank
9 you to everybody at ATSDR for all the work that you
10 have done on this. A couple of just really quick
11 questions. I know you included spouses and children
12 into the Marine cohort. Did you see anything
13 popping out in the data that you received, either
14 from the children or from their spouses, that was
15 significant at all, just, just in that broken-out
16 segment of the population?

17 **DR. BOVE:** Yeah, what we did there was, because
18 we had no referent group, we didn't have Camp
19 Pendleton spouses, so we looked at spouses and
20 children separately, and we just did frequencies,
21 basically.

22 I looked over the -- one of the questions on
23 the questionnaire was a birth defect question. And
24 I've looked through the birth defect descriptions
25 that people gave, and there was nothing remarkable

1 there. No, I really didn't see anything remarkable.

2 **MR. ORRIS:** Okay. And really I was just
3 wondering because I know you just lumped all the
4 cohorts in together.

5 **DR. BOVE:** No, no, no. We didn't do that. The
6 Marines are separate, civilian workers, and then we
7 looked at spouses and dependents separately, just to
8 do frequencies.

9 **MR. ORRIS:** Okay, okay.

10 **DR. BOVE:** And we did the same thing with
11 registrars. The mailing list that the Marine Corps
12 has, I think it was like 110,000, we sent letters to
13 -- I mean surveys to -- the participation rate
14 wasn't great there either, and that was just, again,
15 we just did frequencies there. Sorry.

16 **MR. ORRIS:** And then just the last question on
17 that. Was the participation rate about the same,
18 the 31 percent, for the participants of the original
19 ATSDR study?

20 **DR. BOVE:** You mean the survey?

21 **MR. ORRIS:** The survey.

22 **DR. BOVE:** The survey in 1999-2002 was a
23 telephone survey. So the participation rate was
24 much higher. The problem is a mailed survey, where
25 you -- you know, that really is a difficult thing to

1 do these days. Actually a telephone survey would be
2 too, but back then it wasn't.

3 **MR. ORRIS:** I mean, just, just to clarify, the
4 participation rate for the Marines was roughly
5 31 percent for the mailed survey. Was that roughly
6 the same participation rate for the spouses and
7 their children as well?

8 **DR. BOVE:** Let me see if I have that. I have
9 to look that up in the report. It was probably in
10 the 20-30 percent range, yeah.

11 **MR. ORRIS:** Right around the same range.

12 **DR. BOVE:** Yeah.

13 **MR. ORRIS:** Okay. Thank you for everything you
14 did with this.

15 **DR. BLOSSOM:** Very good work. I just have a
16 quick question. Since individually auto-immune
17 diseases and immune-mediated inflammatory diseases,
18 such as skin, are quite rare individually, did you
19 ever consider in your analysis kind of lumping them
20 all together, just all auto-immune? Okay.

21 **DR. BOVE:** Sorry. No, we didn't do that. I
22 think that, you know, the fact that there was such a
23 low participation rate kind of flummoxed us to some
24 extent, I have to be honest. I think that
25 that's -- and, and you know, we could not rule out

1 at all selection bias, especially with comparisons
2 between Lejeune and Pendleton. And again, the
3 cancer incidence study will not have any of these
4 problems. Neither did the mortality study have the
5 selection bias problems. It's just this survey that
6 did.

7 **DR. BREYSSE:** All right. Hearing no further
8 questions, we can move now to the remaining time, a
9 little bit less than half an hour, for CAP updates
10 and any community concerns that people in the
11 audience might want to share. I know the CAP had a
12 lot of updates as we had our general discussion.

13
14 **CAP UPDATES AND COMMUNITY CONCERNS**

15 **DR. BREYSSE:** I'll start with the CAP. Hearing
16 nothing from the CAP.

17 **MR. ENSMINGER:** What?

18 **DR. BREYSSE:** The CAP updates, Jerry. This is
19 like the teacher, you're passing notes. Want to
20 show everybody what's on your phone now?

21 So it's the time for any CAP updates or CAP
22 concerns that we haven't addressed already.

23 **MS. FRESHWATER:** My daughter needs a summer
24 internship. She wants to go into medicine. She's
25 thinking about cardiology. So anybody. She's a

1 sophomore, dean's list. Keep that in mind,
2 everybody.

3 **DR. BREYSSE:** So we can also -- hearing none
4 from the CAP, is there anybody in the audience who
5 would like to --

6 **MR. PARTAIN:** One thing.

7 **DR. BREYSSE:** You have to raise your card,
8 Mike. Remember, you're a visitor.

9 **MR. PARTAIN:** For the community concerns,
10 before we go to the audience, there was one thing I
11 wanted to point out, I did put on the Facebook page,
12 the Camp Lejeune toxic water survivors. By the way,
13 we're close to -- since the last meeting I think we
14 had 6,000, and we're approaching 9,000 members on
15 that page.

16 Someone did point out, and no disrespect to
17 Melissa, but I'll read from them. His name is Bob.
18 He says: My big question is why would they send
19 some lady who has no clue -- once again, no
20 disrespect -- as to what she was talking about? If
21 they had someone who actually knew how the plants
22 worked and designed, you know, basically pointing to
23 something that we continually point out for the past
24 several years now. Why isn't the Navy and the
25 Marine Corps here?

1 I understand what you do, Melissa, but as
2 evidenced today during our discussion, you know, the
3 Navy and the Marine Corps need to come back to the
4 table. This is getting ridiculous as far as you
5 guys not being here. So you can bring that back to
6 them and let them know that the community outside
7 the CAP is asking why you guys aren't here. Other
8 than --

9 **MS. FRESHWATER:** -- official request.

10 **MR. PARTAIN:** Yes, I'll repeat that. Please be
11 here.

12 **MS. FRESHWATER:** We want to put it in an
13 official request.

14 **MS. FORREST:** Well, we've put in an official
15 request --

16 **MS. FRESHWATER:** Do it again.

17 **MS. FORREST:** I will put it again. I am here
18 as a representative. Like I said, my role is to
19 facilitate any gaps for the ATSDR studies.

20 **MS. FRESHWATER:** And we always want you here,
21 Melissa, because we adore you, but we want them to
22 come, and so please ask them again.

23 **MR. PARTAIN:** And that was an unsolicited
24 comment. I just put on we're having the meeting and
25 put the link for the my link. So people notice.

1 **DR. BREYSSE:** So is there anybody in the
2 audience who would like to say something? If you
3 have a question or comment just step up to the
4 microphone, please.

5 **MR. PARTAIN:** One thing to keep in mind too, we
6 do have -- yeah, make sure you keep it succinct to
7 make a point.

8 **MR. KOHL:** Yes, my name is Larry Kohl; I'm a
9 Marine. I'm not going to go into my history and my
10 family, from fighting for this nation since the
11 Revolutionary War. But something was mentioned here
12 tonight so I thought I should talk about your vendor
13 doctors. September the 28th I had 20 percent of my
14 left kidney removed because of cancer. I picked my
15 own doctor. I paid for my own doctor. I did not go
16 to the VA for one reason. First of all, I wanted to
17 live. The second was they gave me a Dear John back
18 when I was 65 years old. They said because I work I
19 made too much money, and that's disgusting.

20 I had an examination February the 12th. Took
21 10-15 minutes. He says, where's your records? I
22 said, my surgeon sent them to the VA. You have
23 everything. He said, you got pain? I said, sure, I
24 got pain. I'm 77 years old. He said, where's it
25 at? I said, back here. What kidney? I said, my

1 left kidney. Said, you got any pains any place
2 else? I said, sure, right here. Let me see your
3 scars. I pulled up my shirt and I showed him these
4 scars. That's from robotic surgery. And this
5 is -- I'm going to end this now. He put his hand
6 over here on this one, and he says, boy, they really
7 cut you there. And I said, yes, sir, they did. But
8 I failed to tell him they did that in 1968. That
9 was my appendix. I'd be very careful who you get to
10 make the decision of the benefits. Are they going
11 to pay the benefits for my wife and my children for
12 what they went through, worried about this old guy?
13 I'm tough as nails but they're not. There're young
14 ladies. Be careful 'cause they don't know what the
15 hell they're doing. That's a fact. That's not an
16 opinion, sir.

17 **DR. BREYSSE:** Thank you for your service, sir.

18 **MS. METZLER:** Hi, my name is Patti Metzler.
19 I'm here to represent my father, David Metzler. He
20 was a Marine at Camp Lejeune, and he developed
21 neural behavioral disorders. I came to the meeting
22 that was down in Jacksonville, and one of the
23 statistics said that only two percent of the neural
24 behavioral cases had been awarded service-connection
25 at that time. And it became my quest to win.

1 I'm a nurse practitioner. I spent the last
2 five years, from the start of my father's -- when he
3 first applied for this stuff 'til this past January
4 he was awarded six different neural behavioral
5 diagnosis service-connection for. Now I'm waiting
6 for the VA rating.

7 Now, in five years' time my father passed away
8 before I could get to this point, which is part of
9 my problem that I want to address with the VA,
10 because it took that long for me to get to the first
11 denial, the second denial and the final appeal. And
12 I finally got a judge to look at my research, that I
13 did on my own, to agree that this was correct. And
14 there was no help from the VA because his SME tried
15 to blame his exposure to chemicals on his work at
16 General Motors, okay?

17 As a medical professional, a certified and
18 registered nurse practitioner in the State of Ohio,
19 I would never give a medical opinion on anybody that
20 I did not examine. It's my medical opinion that
21 that process is unethical, and you're doing a huge
22 disservice to a lot of these veterans. And many,
23 many, many, many people are getting denied because
24 of that.

25 Now, the second piece that I am concerned about

1 is how long this whole thing is taking. Okay,
2 January, we're celebrating. We got the
3 service-connection award, and my mother is 76 years
4 old. Okay, we're going to expedite this case for
5 her to get your rating. It could be another three
6 to six months. You know, I don't get why this takes
7 so long.

8 And maybe none of you on the board have any
9 answers for me but I wanted to be able to stand up
10 here and tell you that it took my medical background
11 and tremendous blood, sweat and tears, and hours of
12 research to present a 15-page document to this
13 judge, and finally got her to agree with me. And
14 she slammed it. She said, hands down, everything,
15 six different things, all of it service-connected.

16 I brought with me -- it's probably going to be
17 more relevant tomorrow, because there may be some
18 people here -- but if you applied for anything
19 neural behavioral, I made up a flyer, all of his
20 diagnoses and all of the research articles that I
21 used to present my case for my father. I put my
22 email address on the back here, and I will hand this
23 out to anybody and everybody that I can give it to,
24 to help them through this process too, because it's
25 just wrong. It's wrong how long it's taking.

1 And I know that the neural behavioral was on
2 the bottom rung, the 2 percent, but my dad served
3 too. He served. And he suffered for many, many,
4 many years because he developed a neural muscular
5 disorder that he had chronic pain, muscular
6 dystrophy, lost his hearing, had sensory neural
7 hearing loss, and I honestly don't know how I
8 would've been able to handle the anger if we hadn't
9 won this case, because it would've felt to me like
10 his service and his suffering was for nothing. Now
11 maybe it'll help somebody else. If he can open that
12 door and his case was the one that opened the door
13 for other people, hallelujah and thank you, God. I
14 hope that it works. And I'm going to keep spreading
15 the word as much as I can. Thank you. [applause]

16 **AUDIENCE MEMBER:** Yeah, I'd like to mention
17 something. Currently I'm in the VA system now and
18 I'm -- thank you for this panel 'cause I'm learning
19 a lot about the drinking water issue. I was
20 stationed at Camp Lejeune for three years. I even
21 complained about the taste and the smell of the
22 water during that time. But as the rest of us here
23 all know, as Marines, when you're told to shut up
24 and drink it, you drink it, and that's it. And you
25 consume it.

1 Well, I have nerve condition issues that
2 obviously I'm seeing now that's probably related to
3 the drinking water issue. But going to the VA
4 issue, so you all know, it's just not that easy to
5 go through a system, because my experience in the
6 VA, even after I've had a judge, the VA judge, order
7 me to go for another exam through the VA, which I
8 just recently went through, the judge ordered the
9 doctor to spend at least an hour with me going over
10 the issues I've had for my military injuries and
11 other things that we're trying to add, the
12 doctor -- I was in his office and back in my car in
13 16 minutes, after he was ordered to spend an hour
14 with me. He didn't go through a lot of the stuff.
15 My wife said -- was sitting in there. My wife had a
16 question and he told her, shut up and sit down. She
17 is not to speak while this exam is going on.

18 **AUDIENCE MEMBER'S WIFE:** We have the doctor's
19 name; he's here in Pittsburgh.

20 **AUDIENCE MEMBER:** Yeah. And she wasn't allowed
21 to speak at all unless she was spoken to.

22 **MR. ENSMINGER:** Did you knock his ass out?

23 **AUDIENCE MEMBER:** This -- and the sad thing is,
24 is he's a veteran himself, an Army ranger doctor.

25 **MS. FRESHWATER:** That's the problem.

1 **AUDIENCE MEMBER:** Well, and so the whole thing
2 is, as I'm going down through, then I find out that
3 with my liver, 75 percent of my liver is fat. Went
4 to the civilian doctors. They all contributed. The
5 only thing I got that is the drinking water from
6 Camp Lejeune, 'cause I don't drink; I don't smoke; I
7 don't have bad habits. I don't eat fatty foods.

8 And the thing is, when I go to the VA they
9 don't know anything about it. But they're more than
10 happy every year to take seven tubes of blood out of
11 me every, every year that I go in. And when I asked
12 a question, they said they're monitoring me and
13 they're following something. But they can't answer
14 what, until one day a doctor told me in there that
15 they're monitoring a genetic issue. Well, what
16 genetic? Well, nobody wants to say nothing. And
17 this is the experience I'm having with the VA.
18 We've been going through it now for what?

19 **AUDIENCE MEMBER'S WIFE:** Fourteen years on a
20 16-minute exam.

21 **AUDIENCE MEMBER:** Fourteen years on a 16-minute
22 exam. And I got to lay my hope that you guys going
23 to get my liver and everything else straightened
24 around to the VA, that I can't even, at this stage
25 right now, going to my local VA office, that didn't

1 even know about this meeting because they're not
2 informed -- the VA service officers in our county in
3 Ohio don't even know about these meetings. My
4 Legion didn't know about this until I brought it up.
5 My 10th district commander said, we didn't know about
6 this whole thing going on with the drinking water
7 issue. At that level. Now, I'm not saying that the
8 national legion doesn't know about this, but this
9 has got to get out to other people because the
10 Legion, 1.1 million people, we, we specialize in
11 lobbying Congress to get things passed. But when
12 the district commanders don't know about this we
13 can't get anything accomplished.

14 But I hope, since the VA representatives here -
15 - 16 minutes. How do you do that when you order to
16 be with me for one hour and find out what's going
17 on. And it's 16 minutes, and then tell my wife to
18 shut up and sit down. When we get the paperwork, he
19 said he was with us for 55 minutes. Thank you.

20 **MS. CARSON:** This is Laurine Carson, and I am
21 really sorry for your experience. On the benefits
22 side, if you -- I'd like to talk to you and just
23 find out more information so I can take that back to
24 the appropriate persons. I do believe we'll have
25 some VHA Pittsburgh people here tomorrow.

1 **AUDIENCE MEMBER:** Thank you, and I'll be here
2 tomorrow.

3 **MS. CARSON:** Okay.

4 **MR. BANKHEAD:** My name is Bob Bankhead, and I'm
5 a retired United States Marine. I heard several
6 things here today, and a lot of them are going to
7 deal directly with Congress, and have a bearing on
8 what Congress does and how they act.

9 I'm a member of every veterans' service
10 organization there is: VFW, American Veterans,
11 American Legion and DAV. Each of those
12 organizations have a legislative director at their
13 conventions on the national level. At their
14 conventions they pass resolutions. These
15 resolutions are directives to that legislative
16 director to tell them to go -- when they go before
17 Congress, to knock on these doors and say, this is
18 what my organization wants. If we don't write a
19 resolution and send to these organizations, we're
20 probably wasting our time. Thank you.

21 **DR. BREYSSE:** Thank you, sir.

22 **MS. STEVENS:** My name is Sharon Stevens. I'm
23 from upstate New York. My husband was in Vietnam
24 from '65 to '66. When he came back he was at Camp
25 Lejeune. And he never registered with the VA,

1 didn't want anything to do with anything with the
2 military when he got out.

3 And about four years ago he started to have
4 severe neurological problems. I'm a gerontologist.
5 I have a background in public health. I ran an
6 aging service agency. So I have a little bit of a
7 medical background. I've done a lot of research,
8 finally put in an application. I wrote a book too
9 that I submitted with the application. Make a long
10 story short, his illnesses, I won't go into the
11 whole list, but he has the autonomic system
12 disorder, severe neurological effects, so on and so
13 forth.

14 I'm wondering if there's any research, I
15 haven't found any, on the impact of Agent Orange and
16 the chemicals at Camp Lejeune. Is there a
17 compounding effect with the chemicals? Is there a
18 synchronicity? Has there been any kind of look at
19 that? No, okay. 'Cause I think that's what a lot
20 of the Vietnam veterans who were at Camp Lejeune are
21 dealing with.

22 It's very weird stuff that's happening. He's
23 been through the ringer, and he wants to put a gun
24 to his head now, and I don't know what to do other
25 than what I'm doing. But it's very sad that this

1 hasn't been researched, and I'm appalled because I
2 was an advocate for seniors for 30 years, and I was
3 pretty good at what I did. Dealing with this
4 system, it's unbelievable to me. It's
5 unconscionable that people have to go through what
6 they have to go through. And granted some people
7 get treated appropriately. I know that everybody's
8 trying and so on, but I cannot believe what I see
9 online in the support groups, and what I hear. It's
10 just inconceivable to me. Thank you.

11 **DR. BREYSSE:** Unfortunately, I don't think, you
12 know, the science is there to help make a connection
13 between, you know, Agent Orange and some of the
14 solvents at Camp Lejeune. It's an important
15 question; you're absolutely right. And it wouldn't
16 surprise me if there was some combined toxicities,
17 but that's just beyond what we have any evidence for
18 at this time.

19 So we have just a few minutes left, and I see
20 Mike has his tag up.

21 **MR. PARTAIN:** Another question from the
22 community through the internet. A veteran who was
23 denied for bladder cancer before the presumptive
24 service connection was made filed a NOD in December
25 of 2016 and has not heard anything. Actually he

1 admitted that he heard something March 22nd, but
2 still has not had a decision. These veterans that
3 have got the presumptives, that have been sitting
4 around denied or if they are filing appeals, is
5 there any reason why this is taking over a year?

6 And the second part, that I'm going to ask off
7 of that, is do you guys have or do you intend to
8 establish a registry for these Camp Lejeune veterans
9 that go in so we can start keeping track as far as a
10 formal registry with the conditions of who's
11 applying or who's registering and the conditions
12 that they're registering for?

13 **MS. CARSON:** So with regards to the notice of
14 disagreement that's in the appeals process, are you
15 saying that that veteran has one of the eight
16 presumptives --

17 **MR. PARTAIN:** He has bladder cancer.

18 **MR. ENSMINGER:** Yeah.

19 **MR. PARTAIN:** He has bladder cancer.

20 **MS. CARSON:** I'm just asking the question
21 because I didn't hear you say that. But yeah, I
22 would want to get that information so that I can
23 talk to the appeals maintenance center and see what
24 we can do to get that. That should not be still
25 sitting in an appeal state.

1 **MR. PARTAIN:** Well, I'll text him in a few
2 minutes and see if I can get his name and phone
3 number to give to you.

4 **MS. CARSON:** Yep. And you have my information
5 too.

6 **MR. PARTAIN:** Yeah, okay.

7 **MS. CARSON:** From last time. And then with
8 regards to the registries, those are generally
9 healthcare registries so I would have to ask the VHA
10 folks to respond to that.

11 **DR. HASTINGS:** And as you know, a registry does
12 not confer benefits. A registry is basically a
13 mailing list, and also can be used to build cohorts.
14 We are studying with ATSDR the issues that surround
15 Camp Lejeune and healthcare, and we do use the
16 Navy's registry, the Navy's list. So the Navy has
17 the registry.

18 **MR. PARTAIN:** Yeah, you got dependents, and
19 it's a totally different type of registry. You
20 know, what I'm asking is are you guys -- there
21 should be a registry for the VA for people calling
22 in like the gentleman I was talking about that has
23 bladder cancer, who has applied, been denied, or
24 not --

25 **DR. HASTINGS:** The, the list, you know, of

1 people that have applied for claims -- I mean, it's
2 a combination between Laurine's office, VBA, and
3 VHA. We use the list that the Navy maintains for
4 Camp Lejeune, and we research it. We look at the
5 research that --

6 **MR. PARTAIN:** Yeah, but all that is is a
7 mailing list.

8 **DR. HASTINGS:** Right.

9 **MR. PARTAIN:** You know, what I'm looking and
10 asking for is, you know, like you've done with
11 other --

12 **MR. ENSMINGER:** Environmental.

13 **MR. PARTAIN:** -- environmental exposure stuff,
14 is you -- you know, you keep track of -- like for
15 example, during the meeting you presented to us a
16 table with the numbers of the different conditions
17 that you have there. Well, there should be --

18 **DR. HASTINGS:** And that's with the family
19 member program and also with VBA.

20 **MR. PARTAIN:** Okay.

21 **DR. HASTINGS:** It's not -- the Agent Orange and
22 the Gulf War and the airborne hazards registries
23 are, are self-identified registries. They can come
24 in for an exam if they would like to. Camp Lejeune
25 does not require registry. You certainly

1 can -- they can come in and have an exam with a care
2 provider at the VA.

3 **MR. PARTAIN:** But we're asking you is -- well,
4 I'll just make a request: Why can't we -- or can we
5 have a registry with the VA for the Camp Lejeune
6 veterans that are going in reporting -- you know, to
7 keep track of what's being reported, who's
8 reporting, and we have that information; can we
9 establish that with the VA?

10 **DR. HASTINGS:** I'll have to -- I will take that
11 under advisement. I'll take it for the record. The
12 purpose of the registries that we have now, the six
13 registries, would be very different than what you're
14 asking, so let me get back to you with that.

15 **MR. PARTAIN:** Thank you.

16 **DR. BREYSSE:** All right. So I want to be
17 respectful of people's time. I have pretty much
18 eight o'clock straight up. Is there anything
19 burning on the table? If not we can adjourn, and
20 we'll see everybody at what time in the morning?
21 9:00 a.m., nine to one o'clock tomorrow morning.
22 Same room. Thank you all.

23

24 (Whereupon the meeting was adjourned at 8:00 p.m.)

25

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of April 27, 2018; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 26th day of May, 2018.

Steven Ray Green, CCR

STEVEN RAY GREEN, CCR, CVR-CM, PNSC

CERTIFIED MERIT COURT REPORTER

CERTIFICATE NUMBER: A-2102