

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

FORTY-FIRST MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

August 8, 2018

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at 1825 Century Blvd., NE, Conference
Rooms 1A/1B, Atlanta, GA, on August 8, 2018.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

August 8, 2018

WELCOME, INTRODUCTION, ANNOUNCEMENTS DR. PAT BREYSSE	5
U.S. DEPARTMENT OF VETERANS AFFAIRS UPDATES U.S. DEPARTMENT OF VETERANS AFFAIRS	12
ACTION ITEMS FROM PREVIOUS CAP MEETING CDR JAMIE MUTTER	64
PUBLIC HEALTH ASSESSMENT UPDATES SOIL VAPOR INTRUSION MR. RICK GILLIG	106
HEALTH STUDIES UPDATES CANCER INCIDENCE DR. FRANK BOVE	131
CAP UPDATES/COMMUNITY CONCERNS	145
WRAP-UP/ADJOURN	154
COURT REPORTER'S CERTIFICATE	155

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

ASHEY, MIKE, CAP MEMBER
BLOSSOM, DR. SARAH, CAP TECHNICAL ADVISOR
BOVE, DR. FRANK, ATSDR
BREYSSE, DR. PATRICK, NCEH/ATSDR
CARSON, LAURINE, VA
DINESMAN, DR. ALAN, VA (VIA TELEPHONE)
ENSMINGER, JERRY, CAP MEMBER
GILLIG, RICHARD, ATSDR
HANLEY, JACK, ATSDR
HASTINGS, DR. PATRICIA, VA
HODORE, BERNARD, CAP MEMBER
KERR, PATRICIA, NAVY/MARINE CORPS
MUTTER, CDR JAMIE, ATSDR
ORRIS, CHRISTOPHER, CAP MEMBER
PARTAIN, MIKE, CAP MEMBER
REH, DR. CHRISTOPHER, ATSDR
UNTERBERG, CRAIG, CAP MEMBER
VUKASIN, MELANIE, VA (VIA TELEPHONE)

1 hundreds of water main breaks every day and that
2 creates a big problem to systems, you know, we can't
3 use the water in part because when there's a water main
4 break, the engineers in the crowd might feel like this
5 creates negative pressure on the system and it sucks
6 water from places in the system that are normally
7 stagnant and it creates a big issue with water. And so
8 this is a problem that's plaguing cities across the
9 country. And I apologize for that, but hopefully we
10 have plenty of bottled water for people to drink. So
11 there's bottled water out front.

12 So I'd like to ask you, we'll go around the room
13 and introduce ourselves, and when we get to the new
14 member, or ATSDR member, I'll say a few words before we
15 get to him. So Mike, you want to start?

16 **MR. PARTAIN:** This is Mike Partain, I'm a
17 community member of the CAP.

18 **MR. UNTERBERG:** Craig Unterberg, member of the
19 CAP.

20 **MR. ENSMINGER:** Jerry Ensminger, CAP.

21 **MR. ASHEY:** Mike Ashey, CAP.

22 **DR. BLOSSOM:** Sarah Blossom, technical advisor,
23 CAP.

24 **MS. KERR:** Patsy Kerr, standing in for Melissa
25 Forrest from the Navy and Marine Corps Public Health

1 Center.

2 **CDR MUTTER:** Commander Jamie Mutter, CAP
3 coordinator.

4 **DR. BREYSSE:** I'm Patrick Breysse, I'm the
5 Director of the ATSDR and the Center for
6 Environmental Health. And I'll just say a few words
7 before I turn it over to Chris. So as you know,
8 ATSDR is an agency established by Congress. That
9 agency is administered by the CDC and the head of
10 the CDC is the administrator for the agency. So
11 since they call it an agency, the head is called
12 Administrator like the head of the UK is the
13 Administer of the UK, Administrator of the UK, and
14 I'm the director, so he designates the direction
15 that the agency might be after, technically my boss.
16 Dr. Redfield, the head of CDC, is also the
17 administrator for ATSDR. So I wear two hats. So I
18 direct the Center for Environmental Health and ATSDR
19 and that's a big job and having to split my time is
20 always a challenge and address the competing needs
21 of the two organizations. And a few years ago we
22 identified the need to provide more support for me
23 in directing the ATSDR and so we created a new
24 position and anybody who's ever worked for our
25 government knows that means it takes a lot of time.

1 So it took a year or so to get permission to fill a
2 new position with the associate director for ATSDR
3 and that's Chris Reh on my right. So I'm happy to
4 say we had a national search, Chris applied for the
5 job and he started two days ago. Right? So he's
6 really, really fresh. So I want to welcome Chris
7 and I'll let him say a few words about his
8 background since he's new to you guys.

9 **DR. REH:** Thank you, Pat. Yes, I think it's
10 day three. I started my career with CDC, actually.
11 I was with the National Institute for Occupational
12 Safety and Health and doing health evaluations in
13 workplaces and at some point in that career I
14 decided to go into the private sector, spent 17
15 years in the private sector working for Fortune 500
16 companies in water sustainability, climate
17 protection, recycling, packaging sustainability,
18 different -- a lot of different roles. And
19 occupational safety and health. And at this stage I
20 am very excited to be back with CDC. This is my
21 first official meeting, and I think this is a great
22 place to get my career restarted because I think the
23 work that's being done here and the infor -- I did
24 some of my homework about the Camp Lejeune situation
25 and about the CAP as I was waiting for the year to

1 go by to get approved for this position. And I
2 think this is very important work and I appreciate
3 being here and am honored to be part of this.

4 **MR. HODORE:** Bernard Hodore, CAP member.

5 **MR. GILLIG:** Rick Gillig, ATSDR.

6 **DR. BOVE:** Frank Bove, ATSDR.

7 **MR. HANLEY:** Jack Hanley, ATSDR.

8 **MR. ORRIS:** Chris Orris, CAP member.

9 **MS. CARSON:** Laurine Carson, Department of
10 Veterans Affairs.

11 **DR. HASTINGS:** Pat Hastings, Department of
12 Veterans Affairs.

13 **MR. ENSMINGER:** Where's Erickson?

14 **DR. HASTINGS:** He is preparing for Blue Water
15 responses to the testimony.

16 **DR. BREYSSE:** So I'll turn to Jamie for some
17 announcements.

18 **CDR MUTTER:** Thank you, sir. So a reminder for
19 everyone in the room is please turn off your phone
20 or turn them to silent so we don't have any rings in
21 the middle of the meeting. The bathrooms, if you
22 haven't found them already, are if you go out the
23 door that you came in, go to the guard station,
24 there'll be a sign there to the left and then
25 they'll be on your left. So just go to the guard

1 station and you'll see the sign for the bathrooms.

2 Emergency exits, if you go out these doors,
3 those doors outside to the left, or if you go out
4 the back doors behind me and go to the right there's
5 exits this way as well.

6 Let's see. So if you would like to speak, I
7 would remind our CAP members to put their name tents
8 on end so we know who to go to first. Also, for our
9 transcriptionist, please speak into the microphone,
10 so if you need to adjust it in order for him to hear
11 your name, and repeat your name before you talk.
12 And the microphones are push to talk so you have to
13 push until it turns green in order for them to work.

14 Let's see. There are vending machines. If you
15 are thirsty there is water, as we said, but it's
16 room temperature. So if you would like a cold
17 beverage there's vending machines across from the
18 bathrooms; if you're interested, there's a breakroom
19 there.

20 And also, just as you're aware, you got agenda
21 as you walked in. There is a place for community
22 concerns at the end of the agenda, so we ask the
23 audience to wait and hold their questions and
24 concerns until that time on the agenda.

25 **MR. PARTAIN:** Jamie, just a quick thing. I

1 just got a text that apparently the livestream has
2 audio but the images of two computer screens and two
3 microphones but no people, so they were asking if we
4 could be seen.

5 **DR. BREYSSE:** Make sure the camera focuses on
6 Mike.

7 **MR. PARTAIN:** No, thank you.

8 **DR. BREYSSE:** So while we're taking care of
9 that, before we get officially started, does any CAP
10 member want to make any introductory remarks? If
11 so, keep them brief.

12 **MS. CARSON:** Before you begin, this is Laurine
13 Carson from the Department of Veterans Affairs. I
14 wanted to let you all know, especially for the
15 public, that we have two folks here today who are
16 seated right out directly outside the room who would
17 be willing to look up any statuses of claims, answer
18 any questions about benefits. We don't have the
19 full group of healthcare folks to deal with the
20 family care issues and things, but if you have
21 questions that you wanted us to take back, we can
22 take those back and provide those to the appropriate
23 groups. But if you're looking for your claim
24 status, the benefit claim status for disability
25 compensation, or if you want information about VA

1 benefits in general, we have two folks who are
2 sitting at a table that says VB, Department of
3 Veterans Affairs, directly outside.

4 **DR. BREYSSE:** Okay. Well, let's get going.
5 Let's turn to the first agenda item, the U.S.
6 Department of Veterans Affairs Updates.

7 **U.S. DEPARTMENT OF VETERANS AFFAIRS UPDATES**

8 **DR. HASTINGS:** On the line we should -- thank
9 you. On the line we should have Melanie Vukasin who
10 is with the family member program and she does have
11 the briefing and update. And I am looking at the
12 screens, hoping that they are going to magically pop
13 up her presentation. I know that the presentation
14 is in the folders that have been provided to the CAP
15 members.

16 **DR. BREYSSE:** Our magician is working on it as
17 we speak.

18 **DR. HASTINGS:** Oh, good. And Melanie, are you
19 on line?

20 **MS. VUKASIN:** Yes, ma'am, I'm here.

21 **DR. HASTINGS:** Good. I know that you will have
22 to leave us in about an hour because you have a
23 senate VA committee that you are going to be
24 briefing the staffers prior to the SVAC. So thank
25 you for allowing yourself to be first on the agenda.

1 **MS. VUKASIN:** So I can go ahead and get started
2 unless you want to wait for the slide deck to come
3 up.

4 **DR. HASTINGS:** Can we give it about 30 more
5 seconds? I know they're working on --

6 **MS. VUKASIN:** Sure.

7 **DR. HASTINGS:** -- bringing it up right now.

8 **MS. VUKASIN:** Sure.

9 **DR. HASTINGS:** And it looks like they're having
10 some luck. There we go.

11 **MS. VUKASIN:** Okay.

12 **DR. HASTINGS:** So I think you're on.

13 **MS. VUKASIN:** Okay, great. So is there someone
14 there that can advance the slides then?

15 **DR. BREYSSE:** Yes.

16 **DR. HASTINGS:** Yes.

17 **MS. VUKASIN:** Okay, perfect. All right, thanks
18 very much. Okay, my name is Melanie Vukasin and I'm
19 with VHA's Office of Community Care and I'll be
20 briefing you today on the veteran and family member
21 program for Camp Lejeune. So slide, please.

22 So we'll just talk very briefly about why we
23 have the program. So the law where we've
24 established the Honoring America's Veterans and
25 Caring for Camp Lejeune Families Act in 2012. So

1 this law was enacted in August of 2012 and Section
2 102 requires the VA provide healthcare to veterans
3 who served on active duty at Camp Lejeune and that
4 reimbursement of medical care is provided to
5 eligible family members for one or more of the 15
6 specified illnesses or conditions. I think we're
7 all pretty familiar with that. And then slide, the
8 slide deck I apologize, is one of the slide decks
9 that it sort of advances slowly. So you've got
10 cancers and then you've got other conditions. So as
11 you can see on the slide, you've got a number of
12 cancers that are listed and then you've got a number
13 of other conditions that are not specifically listed
14 as cancers. Slide, please.

15 All right. So let's talk about the veterans'
16 eligibility. And I'll just go ahead and have you
17 advance the whole slide so we can pull it up. Okay.
18 So to be eligible for the VA healthcare, a veteran
19 must have served on active duty at Camp Lejeune for
20 at least 30 days between August of 1953 and December
21 of 1987. So the veteran does not need to have had
22 one of -- or does need to have had one of the 15
23 conditions to be eligible to receive VA healthcare.
24 The veteran -- veterans do not need a service
25 connected disability to be eligible as a Camp

1 Lejeune veteran for VA healthcare. The VA
2 healthcare related to any of the 15 qualifying
3 health conditions is at no cost to the veteran,
4 that's including the copayments. And Camp Lejeune
5 veterans are enrolled in VA healthcare and they're
6 enrolled at a priority group six unless they qualify
7 for a higher priority group. Next slide, please.

8 So as you can see, as of the 30th of June 2018,
9 the VA has provided healthcare to about 55,000 Camp
10 Lejeune veterans, 3200 of which were treated
11 specifically for one or more of the 15 related
12 medical conditions. So and again, I apologize, if
13 you could just advance the whole slide. In response
14 to the law, VA began providing care to Camp Lejeune
15 veterans on the day that the law was enacted in
16 August of 2012. To support implementation of that
17 statutory requirement, the final regulation for the
18 Camp Lejeune veterans was published in September of
19 2014. And so if you're a Camp Lejeune veteran
20 that's interested in enrolling in the program,
21 there's a 1-800 number that you can call and we've
22 got that on the slide. And as I understand,
23 everybody's got a packet so you'll be able to take
24 that back with you. So you can call 1-877-222-8387.

25 **DR. BREYSSE:** Can you repeat that number again,

1 slowly?

2 **MS. VUKASIN:** Oh, yes, sir. That's 1-877-222-
3 8387.

4 **MR. ENSMINGER:** I have a question.

5 **MS. VUKASIN:** Yes, sir.

6 **MR. ENSMINGER:** This is Jerry Ensminger, I'm a
7 member of the CAP.

8 **MS. VUKASIN:** Yes, sir.

9 **MR. ENSMINGER:** I don't understand why -- why
10 do you guys continue to say that you -- VA has
11 provided healthcare to 55,072 Camp Lejeune veterans
12 and then you say only 3,256 of which were treated
13 specifically for one or more of the 15 specified?
14 Why do you even put the 55,000 number up there? I
15 mean, it wasn't from the water, so why do we even --
16 why do you even put it up there?

17 **DR. HASTINGS:** Melanie, if I can? Part of it
18 is --

19 **MS. VUKASIN:** Yes, ma'am.

20 **DR. HASTINGS:** -- with regards to the Camp
21 Lejeune veterans we assumed, and if you don't want
22 that information, that you were interested in the
23 fact that we know that 55,000 plus have been to Camp
24 Lejeune and are receiving VA healthcare. If that's
25 not a number that is of interest to you, you can

1 remove it.

2 **MR. ENSMINGER:** Well, I mean, if you're going
3 to keep track of the number, then why don't you just
4 make a Camp Lejeune registry?

5 **DR. HASTINGS:** You have a Camp Lejeune registry
6 which is held by the Marines. They have the list.

7 **MR. ENSMINGER:** No. I'm talking about a VA
8 registry for --

9 **DR. HASTINGS:** What do you want with a
10 registry? What would be the purpose?

11 **MR. ENSMINGER:** To keep track of the ailments.

12 **DR. HASTINGS:** We keep track of the ailments.

13 **MR. PARTAIN:** Well, I mean, the purpose of the
14 registry too is also for a public verification and
15 view of what's going on or who's applying. So a
16 veteran like someone out in the audience goes to the
17 VA and says, and registers, they can get information
18 from the VA too. And plus, it allows us to see from
19 the community how many people are going and to keep
20 some tabulation on it. I know other environmental
21 issues have VA registries. I'm a little confused to
22 why there's not one for Camp Lejeune with the VA.
23 The one with the Marine Corps that you reference
24 currently houses about 230,000 people at the last
25 count that I remember. But as you mentioned too,

1 there's also control by the Marine Corps, they do
2 not share information, they do not allow any type of
3 interaction between the community and the CAP panel
4 or anything, for that matter. And in the past when
5 they did send information out it's been skewed
6 towards the Marine Corps, especially earlier on in
7 2009. I would have -- I brought this up at the last
8 CAP meeting about having a registry and spoke to --
9 about that and --

10 **DR. HASTINGS:** Right. And we --

11 **MR. PARTAIN:** -- it's something we need to
12 have.

13 **DR. HASTINGS:** You have a listing of people
14 through the Marines. A registry will not confirm
15 benefits. We do --

16 **MR. PARTAIN:** It's not intended to confirm
17 benefits. But one of the things about a registry is
18 if you get 115 men showing up on the registry
19 reporting with breast cancer, it should be something
20 that you guys can look at, look into and try to
21 track down. Part of a registry also, you know, it
22 helps identify conditions that are being reported
23 for possible studies as well.

24 **DR. HASTINGS:** And that's not how we use the
25 registries, but I can talk to you about that and

1 also later so we can go through this with the rest
2 of the family member program.

3 **MR. PARTAIN:** So is it the VA's position that
4 you guys do not want to do a registry for Camp
5 Lejeune?

6 **DR. HASTINGS:** We need to discuss more what you
7 expect out of a registry.

8 **MR. PARTAIN:** Okay. Let's have that today,
9 please.

10 **DR. BREYSSE:** Can we carry on with the
11 presentation?

12 **MS. VUKASIN:** Okay. All right, next slide,
13 please. Okay. So let's talk about some of the
14 specific numbers for the Camp Lejeune veterans
15 program. The table that you're looking at displays
16 the number of veterans who were treated for each of
17 the 15 medical conditions between October of 2012
18 and June of 2018 and what you're seeing in the red
19 parentheses is an increase within the last quarter.
20 So as you can see, of the 15 conditions there were
21 3200 that were treated and then there were
22 approximately nine in that red parentheses that was
23 an increase in the last quarter with a total of 49
24 for that increase.

25 **UNIDENTIFIED SPEAKER:** Look at renal toxicity.

1 **MS. VUKASIN:** Yeah, that was the highest.

2 **UNIDENTIFIED AUDIENCE MEMBER:** Ma'am, could I
3 ask a question?

4 **MS. VUKASIN:** Yes, sir.

5 **UNIDENTIFIED AUDIENCE MEMBER:** The numbers
6 before 2012, do we have any record of those? The
7 reason I'm asking, I had cancer, kidney cancer.

8 **DR. BREYSSE:** The question is, do you have any
9 numbers from before October 1st, 2012? And I assume
10 the answer to be no because that's when the
11 compensation program started for the medical exam --
12 the medical program started, so they only have data
13 back to that point.

14 **MS. VUKASIN:** Right. Yes, sir, that would be
15 my understanding because that's when the law was
16 signed, that would be right, when they had these
17 numbers.

18 **UNIDENTIFIED AUDIENCE MEMBER:** I have a
19 question.

20 **MS. VUKASIN:** Yes, sir.

21 **UNIDENTIFIED AUDIENCE MEMBER:** My question is,
22 if the water was contaminated for the last 57 years
23 and plus, what -- how do you all determine 1987 is
24 the, as you were, yeah, 1987 is the last date of cut
25 off benefits for anybody that's affected? Was the

1 water cleaned or treated thereafter?

2 **DR. BREYSSE:** Sir, if I can -- we'll answer
3 that question, but I want to ask the audience if
4 they can hold their questions till the end when we
5 have a specific period of time when we set aside for
6 questions. But since you asked it, can we answer
7 that question? So what was the -- why was 1987 --

8 **UNIDENTIFIED AUDIENCE MEMBER:** The cutoff date.

9 **DR. BOVE:** The cutoff date is 1987 because that
10 was the date that the Tarawa Terrace system --

11 **UNIDENTIFIED AUDIENCE MEMBER:** Will you speak
12 into the mike, please?

13 **DR. BOVE:** Yeah. The Tarawa Terrace system,
14 this is Frank Bove, by the way. The Tarawa Terrace
15 system, we serve Tarawa Terrace housing area, you
16 know, was put out of service in 1987. The water
17 modeling that we did for the water systems that
18 served the main part of the base, Hadnot Point and
19 Holcomb Boulevard, also were without contamination
20 by that point.

21 **DR. BREYSSE:** So this is when we think the
22 exposure stopped.

23 **UNIDENTIFIED AUDIENCE MEMBER:** So the water was
24 not -- I can't ask any more questions based on the
25 follow-up on that.

1 **DR. BREYSSE:** Well, we can follow up, sir.

2 **UNIDENTIFIED AUDIENCE MEMBER:** So you cleaned
3 the water, you say you turned the contaminated water
4 off in 1987 at TT 1 and 2, 1 and 2, but was the
5 water cleaned on the base itself where all the
6 marines live?

7 **DR. BOVE:** The water wasn't cleaned. What was
8 done was the wells that were contaminated were shut
9 down. The actual wells serving Mainside that were
10 the most contaminated were shut down by February
11 1985 and -- but there was still some residual
12 contamination. But by '87 it was gone. And for
13 Tarawa Terrace there was residual contamination
14 until the system was shut down in '87. So it wasn't
15 cleaned; it was just the bad wells were shut down.

16 **MR. PARTAIN:** Sir, I understand they're still
17 cleaning the base up. It's going to take a long
18 time. One of the issues and what we're talking
19 about was the drinking water and what we were
20 exposed to in the drinking water system aboard the
21 base. Now, there are other issues, and I'm sure
22 we're going to talk about those later today or this
23 morning, but there was an issue of vapor intrusion.
24 Near the Michael Street fuel farm there's a 1.5
25 million gallon plume of fuel floating around the

1 aquifer, that went underneath buildings on the 1100
2 buildings, 1200 too, Jerry?

3 **MR. ENSMINGER:** Nah.

4 **MR. PARTAIN:** Mainly the 1100 series buildings,
5 and that fuel volatized and vaporized into those
6 buildings and exposed -- potentially exposed people
7 there. That hasn't been addressed, that's something
8 that ATSDR is working on. But when we're talking
9 '53 to '87, that's strictly the drinking water
10 contamination part of the issue where we were
11 exposed, for those that were -- those of us that
12 were on the base during that time period, so that's
13 why that number is coming up. At one point it was
14 1957 to 1987. But when ATSDR completed their water
15 modeling project about four years ago now, they
16 rolled that date back to 1953. That make sense?

17 **UNIDENTIFIED AUDIENCE MEMBER:** No, it does not,
18 actually, because you have Tarawa Terrace is still
19 open after 1987. You've got the Tarawa TT-1, TT-2
20 facilities, he was talking about where families
21 lived, it was still open after 1987.

22 **MR. PARTAIN:** Yeah, the family housing area is
23 open.

24 **MR. ENSMINGER:** Hey, wait a minute, wait a
25 minute. Over here, Jerry, over here.

1 **UNIDENTIFIED AUDIENCE MEMBER:** Jerry, who is
2 he? Go ahead.

3 **MR. ENSMINGER:** In 1987 Tarawa Terrace's water
4 treatment plant was shut down. The water for Tarawa
5 Terrace was coming from the Holcomb Boulevard
6 treatment plant across the river, or the northeast
7 creek over on Mainside at that time. No, they
8 didn't shut TT down, it stayed open, yes. But the
9 water plant was shut down, so there was no more
10 contaminated wells. They took all the contaminated
11 wells off line at that time over on Mainside as
12 well. So the water was deemed nontoxic, nontoxic at
13 that time. Okay.

14 **DR. BREYSSE:** Okay, great. If you have
15 additional concerns we can maybe take it up through
16 the breaks, but I think we need to move on to wrap
17 up the presentation.

18 **MS. VUKASIN:** Okay. So let's go to the next
19 slide, please. Okay. So we've covered the veterans
20 side of Camp Lejeune, so let's look at the family
21 member side of Camp Lejeune. Okay. So the family
22 member program launched on the 24th of October, 2014,
23 and that was the day that the regulation became
24 effective. So family members receive care by
25 civilian providers and the VA reimburses as the

1 payer of last resort. And that's really important
2 that I stress that because we are the payer of last
3 resort and we pay for out of pocket medical costs
4 associated with the 15 conditions. Family members
5 may request reimbursement for covered expenses that
6 occurred up to two years prior to the date of the
7 application. So as of the 30th of June 2018, VA
8 provided reimbursement to 554 family members for
9 medical claims related to the treatment of one or
10 more of the 15 conditions. And just as I talked
11 about with the veterans, if family members are
12 interested in enrolling in the program, they've got
13 a 1-800 number they can call and that number is 866-
14 372-1144. And then there's also a website that they
15 can visit and they're on the slide and they can go
16 to that website and they can actually either print
17 the forms off and mail those forms in to apply, or
18 they can apply on line.

19 **DR. BREYSSE:** Before we leave the slides, I
20 want to make sure that the people watching on line
21 can see the slides now. Is that the case?

22 **UNIDENTIFIED SPEAKER:** Yes.

23 **DR. BREYSSE:** Okay, good.

24 **MR. PARTAIN:** I've got a question on the family
25 program. I've been --

1 **DR. BREYSSE:** What happened to putting your
2 tents up?

3 **MR. PARTAIN:** Well, I still have a question. I
4 recently started navigating this family program and
5 applied. On the questions that are sent in, you
6 know, my understanding is for the 15 conditions you
7 need to show residency aboard Camp Lejeune during
8 the time frame. You need to show a diagnosis of
9 your condition. And I sent that in and then
10 received a packet and wanting just all kinds of
11 weird stuff, medical questions and it seemed like a
12 very just annoying medical questionnaire that I am
13 not sure why or why that was needed. I mean, they
14 wanted my family medical history, had some other
15 questions, they wanted my BMI, yeah, I'm fat and I'm
16 old. But I'm not sure what that had to do with the
17 care that or at least the care reimbursement that
18 was hopefully going to be provided by the VA for my
19 condition. I did send the medical forms in. I
20 actually sent -- I was sent more information asking
21 for a current doctor to confirm my diagnosis. I had
22 originally sent in my radiological diagnosis of male
23 breast cancer along with my original doctor's
24 reports. Going to my question here is why are we
25 asked -- being asked to provide further information

1 than was required by the law which, you know, to me
2 is cumbersome, trying to get all that tracked down.
3 Some of my doctors have been, you know, it's been 11
4 years since I was diagnosed. I no longer live in
5 the city where I was diagnosed. I no longer see the
6 doctors that diagnosed me and that's problematic.
7 And then the other part I brought up to y'all this
8 morning too and I sent the information in, actually
9 my doctor faxed it to me and then I get a letter
10 from the VA saying oh, we are denying you because
11 you don't -- you didn't turn in the required
12 paperwork. And so I've given that to Dr. Hastings.

13 But the first question is the one I'm really
14 concerned about. Why are we being asked information
15 above and beyond what is required by the law?

16 **MS. VUKASIN:** Well, I wouldn't necessarily be
17 able to speak to the medical questions that are
18 being asked of you. I mean, as far as the just the
19 basic eligibility questions, I mean, you -- and
20 there's a slide on eligibility that I'm going to get
21 to, but I'm not sure why you're being asked some of
22 those medical questions.

23 **DR. HASTINGS:** And Melanie, I'm just going to
24 jump in here. This is Pat.

25 **MS. VUKASIN:** Sure.

1 **DR. HASTINGS:** Mike, I don't know either, so I
2 will, when I get your paperwork and I take it
3 forward to the right people I'll ask them those same
4 questions and get an answer back, and so we'll take
5 that for the group.

6 **MR. ORRIS:** So this is Chris Orris, I'm a
7 member of the CAP. And typically when Brady was
8 running the program, during these CAP meetings he
9 would always provide to me what's your overall cost
10 was of running this program as well as how -- what
11 the dollar amount of the benefits that you had paid
12 out up to date and I do not see that, yet again, in
13 this slide program.

14 **MS. VUKASIN:** I'm getting there.

15 **MR. ORRIS:** Well, I looked through it and I
16 don't see any dollar amounts.

17 **MS. VUKASIN:** Then you may have not gotten the
18 correct slide deck because it should be on the final
19 slide.

20 **DR. BREYSSE:** Well why don't we walk through it
21 and see if we get there.

22 **MS. VUKASIN:** All right, I'll go to the next
23 slide. Okay. So let's talk about the eligibility.
24 Okay. So to be eligible the family member first has
25 to receive reimbursement of medical expenses under

1 the provision of the law and they have to be
2 determined administratively eligible for the
3 program. So they must have been a -- have a
4 dependent relationship to an eligible veteran during
5 the covered time frame. They have to have resided,
6 which would include in utero, on Camp Lejeune for at
7 least 30 days between the 1st of August 1953 and the
8 31st of December 1987 and had one or more of the 15
9 qualifying health conditions. The next slide.

10 All right. Just like we did with the veteran's
11 slide or the veteran program, I've got a slide for
12 the family member program where we'll look at the 15
13 conditions. So as you can see, between 1 October
14 2012 and the 5th of July 2018 we had 628 conditions
15 total and then we had an increase of 64 with nine
16 specific conditions with breast cancer being the
17 highest.

18 **MR. ENSMINGER:** How come we don't have all
19 these slides?

20 **DR. HASTINGS:** I have them in my packet.

21 **DR. BREYSSE:** I have this one. It should be
22 slide nine. Can we carry on?

23 **MS. VUKASIN:** Sure. Okay, let's talk about the
24 eligibility denial. Okay. So of the 52,000
25 veterans who applied for care and services under the

1 program between October of 2012 and June of 2018,
2 1400 were ineligible due to not meeting the
3 statutory requirements for veteran status. There
4 were 716 veteran applications that were pending
5 status. So for family members of the 2700
6 applications received for medical benefits in the
7 Camp Lejeune family member program between October
8 of 2014 and July of 2018, there are 25 awaiting an
9 administrative determination.

10 So looking at the family member
11 administratively ineligibility there's a total of
12 812. So the top three reasons for that
13 administrative ineligibility is being not meeting
14 the Camp Lejeune residency requirement, which is 30
15 plus days and that criteria was 425 total. The
16 relationship to the eligible veteran were 225 and
17 then the veteran eligibility criteria was 135.
18 Family members being clinically ineligible was a
19 total of 306 and that was for one of the 15
20 conditions. And family members may have been denied
21 multiple times for the same condition.

22 **MR. ORRIS:** So this is Chris Orris with the CAP
23 again.

24 **MS. VUKASIN:** Yes, sir.

25 **MR. ORRIS:** Of the family member

1 administratively ineligible, the veteran eligibility
2 criteria of 135, how many of those family members
3 are being denied because of their veteran's
4 discharge status? In other words, my question is,
5 are we actually denying citizens of the United
6 States whose parents might've been dishonorably
7 discharged, benefits of being sick at the base?

8 **MS. VUKASIN:** I would have to look into that
9 and get back with you, sir.

10 **MR. ORRIS:** Well, if the answer is yes, I bring
11 this up every single time we're at this meeting,
12 then what is the VA doing to address this situation?

13 **MS. VUKASIN:** I'm sorry, I don't have the
14 answer to that question.

15 **DR. HASTINGS:** This is Pat. I know that and
16 I'll have to look at the 135, but I know that there
17 is one case that I am aware of that I helped with
18 because the veteran did not meet the veteran
19 criteria, he was one year and nine months and some
20 number of days before he left the Marines and I know
21 that his wife is receiving benefits. And so if
22 there is an issue I can look at this with Melanie
23 and I can get that back for the next answer. I'm
24 sorry, I don't recall this as being one of the
25 issues.

1 **MR. ORRIS:** Okay. So if a family member is
2 denied because their veteran sponsor was
3 dishonorably or generally discharged and that
4 doesn't meet the requirement of those veteran,
5 according to U.S. code right now, what you're saying
6 is is that you have the authority to go in and make
7 a determination to allow that family member to
8 receive benefits?

9 **DR. HASTINGS:** We've talked to the family
10 member program and I'm going to have to look at
11 these 135 specifically, but they are not denied
12 based on their time in service or their character at
13 discharge as far as I understand. The 135 I don't
14 have an explanation for, but I can look at those
15 with Melanie and Gail after this meeting. I did not
16 know, and I apologize, I was not aware that this was
17 an ongoing issue. But I do know that there was one
18 that recently I was involved with and we looked at
19 it and it was one year, nine months, as I said. And
20 that person, the family member was on Camp Lejeune
21 for the requisite 30 days and is getting benefits.
22 So I'll look at those with Melanie and take this as
23 an answer for next time.

24 **MR. ORRIS:** And as a quick follow up to that
25 then, because we know that children were exposed in

1 utero at the base and we know that sometimes in
2 utero exposure can be harmful in as little as hours
3 of exposure during the first trimester of pregnancy,
4 are you also taking that into account when you're
5 looking at eligibility for in utero exposure?

6 **DR. HASTINGS:** In utero, if they resided on
7 Camp Lejeune, and again, in the law it's the
8 requisite 30 days, but I don't know of any cases
9 right now and I, again, will look at these with the
10 family member program. I don't -- I'm not aware of
11 any that were denied because they were on Camp
12 Lejeune for 29 days.

13 **MR. ORRIS:** Okay. Thank you.

14 **MR. UNTERBERG:** This is Craig Unterberg with
15 the CAP. On the denials for residency, are you guys
16 able to determine that people did not live on the
17 base in those 30 days, or is it just that they were
18 not able to show that they lived on the base for 30
19 days? And I know early on you guys did not have all
20 the housing information, is there any other
21 information that you guys could get or would like to
22 get that would help reduce the number of rejected
23 claims for residency?

24 **DR. HASTINGS:** Melanie, I'm going to take this
25 one again, if I could.

1 **MS. VUKASIN:** Sure.

2 **DR. HASTINGS:** The records now are much better
3 than they were initially. I think we're getting
4 good fidelity now, but in certain cases where they
5 may not, you know, we've got the three-by-five cards
6 that they assign people with housing. In some cases
7 we have taken a picture of someone, evidently there
8 was a trailer park?

9 **MR. PARTAIN:** Yeah.

10 **DR. HASTINGS:** Okay. We have taken as
11 documentation, people in front of a -- in front of
12 their trailer. We have taken the three-by-five
13 cards that were in file boxes. But I think there's
14 pretty good fidelity now and I can't think of any
15 other information that we would need as far as
16 housing. The biggest requirement is that they have
17 a legal relationship with that veteran.

18 **MR. UNTERBERG:** There was discussion at one of
19 the CAP meetings about whether you all being able to
20 accept affidavits signed, maybe cosigned by another
21 witness when there is not documentation. Has there
22 been any thought or movement on that?

23 **DR. HASTINGS:** No. I have not -- I did not
24 know that you were looking at that. That would need
25 to be a legal determination, so we would need to

1 take that as a question for the record.

2 **MR. UNTERBERG:** Okay. Can we put that in as a
3 CAP request? I know I can't obtain the attorney's
4 name, I think, for secret, privacy issues. We've
5 tried to have direct discussions with your attorneys
6 but we're not allowed to do that, so I'd request
7 that as being a CAP issue that some type of
8 affidavit or sworn statement could be used as
9 evidence.

10 **DR. BREYSSE:** If we can carry on.

11 **MS. VUKASIN:** Okay. Next slide, please. So
12 we'll look at the top five reasons family member out
13 of pocket medical expenses were not reimbursed. The
14 medical bill was completely paid by other health
15 insurance. The bill was previously submitted and
16 considered. Diagnosis codes on the medical bill is
17 not covered for the approved condition so the bill
18 was sent out for clinical review and it was
19 determined that the medical procedures were not
20 related to that approved condition. Family member
21 provider did not submit an OHI Explanation of
22 Benefits and we've got to have that EOB.
23 Prescription was not covered by an approved drug
24 formulary listing. So after the nurse did their
25 review the medication was determined not to be

1 related to an approved condition. Slide, please.

2 And here's the slide that you were inquiring on
3 the administrative expenses for --

4 **DR. BREYSSE:** I'm sorry, but we don't have
5 another slide in our slide deck. Nor do we have it
6 on the screen.

7 **MS. VUKASIN:** Okay. I guess there was some
8 confusion with the deck that was provided to you
9 then. The -- what I can tell you is that the
10 clinical eligibility determination, the dollar
11 amount, and we'll correct that and get you guys the
12 correct slide deck. The clinical eligibility
13 determination, the dollar amount for FY18 the
14 administrative expenses was \$604,837. I can repeat
15 that number if you'd like me to.

16 **MR. ORRIS:** Yes, please repeat it.

17 **MS. VUKASIN:** Yes, sir. The clinical
18 eligibility determination, that was \$604,837.

19 The next item is family member and provider
20 reimbursement, and that amount is \$817,530. And so
21 that total administrative expenditures amounts to
22 \$1,422,367.

23 **MR. ORRIS:** So am I to understand then that the
24 clinical eligibility is the actual cost that it
25 costs to run the program from the VA then?

1 **DR. HASTINGS:** Melanie, can I take that one?

2 **MS. VUKASIN:** Yes, ma'am.

3 **DR. HASTINGS:** The family member program is one
4 of many programs, so there are some costs for
5 administrative personnel. But yes, those are the
6 costs for the eligibility reviews and the cost of
7 reimbursement. But there are personnel costs but
8 those are across the board for many other programs,
9 such as homelessness, the spina bifida program, and
10 a number of others.

11 **MR. ORRIS:** And is this for this fiscal year or
12 is this total?

13 **MS. VUKASIN:** Total for FY18.

14 **DR. BREYSSE:** Any other questions --

15 **MS. VUKASIN:** And that would conclude -- that
16 concludes the presentation.

17 **DR. BREYSSE:** -- any other questions or
18 comments about the VA updates?

19 **MR. ENSMINGER:** Well between the family program
20 and the presumptive program, we need to sit down, I
21 need to get up and sit down with the Veterans
22 Affairs Committee and my senators and some people
23 from the VA because those two -- the law and the
24 presumption are different.

25 **DR. HASTINGS:** Those are different. There are

1 eight presumptions. There are the 15 conditions and
2 be happy to talk to you about it because it is
3 confusing. The family member program law was
4 historic. I mean, that was the first time this had
5 ever been done for family members. So it was great
6 legislation, it was amazing to get it through, but
7 you're correct, the presumptions and the 15
8 conditions don't mesh completely and happy to talk
9 to you about that and review that.

10 **DR. BREYSSE:** All right. Are we done with this
11 section of the agenda then?

12 **DR. HASTINGS:** I am going to ask if Dr.
13 Dinesman is on the line in case there were any
14 issues that were coming up. I know that --
15 congratulations Dr. Dinesman, your son is being
16 married off tomorrow, if you are on the line --

17 **DR. DINESMAN:** I am.

18 **DR. HASTINGS:** And -- oh, there you are. So
19 congratulations, I hope it goes well and look
20 forward to seeing you at the next meeting. But I
21 know there were a couple of questions that you were
22 available for prior to the wedding.

23 **DR. DINESMAN:** Good morning. Thank you very
24 much. I do apologize for not being there in person,
25 but hope to be able to do so for the next CAP

1 meeting.

2 **DR. BREYSSE:** So any questions for Dr. Dinesman
3 while he's on the phone?

4 **MR. PARTAIN:** Well, a question for the VA.
5 Making it official. Question for the VA. Where are
6 we at with renal toxicity? You know, we keep
7 bringing this up pretty much every CAP meeting now,
8 but it is one of the conditions that is not a
9 recognized presumption but we see quite a few,
10 including some increases in cases reported.

11 **MR. ENSMINGER:** It was in the IOM.

12 **MR. PARTAIN:** So we brought this, I mean, at
13 the risk of beating the dead horse quite dead, you
14 know, we've got the IOM report that had the
15 recommendation the VA should give veterans the
16 benefit of the doubt, but we still have no direction
17 on whether or not the VA is going to reconsider
18 adding or doing something for renal toxicity. So
19 where are we at with that; that's the first
20 question.

21 **DR. HASTINGS:** I'm just going to jump in here.
22 I know that you had brought this up with Dr.
23 Dinesman last time and someone was going to send him
24 the specific question and portion of the report.
25 Alan, did you get that?

1 **DR. DINESMAN:** That was, that was I think in
2 response to the white paper and no, I did not get a
3 copy of that white paper.

4 **DR. BREYSSE:** I'm not sure what paper you're
5 referring to.

6 **DR. HASTINGS:** At the last meeting Alan had
7 been asked this and he was going to be given extra
8 information as to the question and the report that
9 it was in. I don't believe it was mentioned as
10 being IOM last time, but it may have.

11 **MR. PARTAIN:** We've brought this issue up, I
12 mean, I've lost count how many times I've brought
13 this up since the 2015 IOM report. I can pretty
14 much assure you since that report surfaced that
15 we've been talking about it ever since. The white
16 paper, I'm not sure and I don't recall being asked
17 to provide that. I apologize if I'm mistaken. But
18 the only white paper I'm -- Jerry, may have been the
19 stuff that we got from the VA lawsuit when there's a
20 white paper that you all had basically dismissing
21 the IOM report. So where are we at on this? I
22 mean, you -- this is -- when you say you're waiting
23 on documentation from us, this is y'all's
24 documentation, this is nothing new, you know.

25 **DR. HASTINGS:** One of the things that Laurine

1 Carson just -- a CAP member asked for the author of
2 the white paper related to the IOM report discussed
3 in the meeting be identified. Dr. Dinesman asked
4 the CAP member to send him the report. Is that the
5 one we're talking about?

6 **MR. PARTAIN:** Possibly. I mean, the white
7 paper, the one I'm thinking about, it may have been
8 me asking for it, but I don't recall being asked to
9 provide that. Like I said, I --

10 **MR. ENSMINGER:** Wait a minute, the IOM report
11 was commissioned by the VA. Why would we have to
12 provide the VA with their report?

13 **DR. HASTINGS:** No, no. It's -- what it is is
14 they are asking for the author of the white paper
15 related to the IOM report. So we don't know which
16 white paper you're talking about.

17 **MR. ENSMINGER:** There was a review that was
18 done by the VA once they received that report from
19 the IOM on the clinical eligibility of different
20 health effects that was done by the IOM for the VA.
21 This was back in 2015.

22 **DR. HASTINGS:** Okay. If you can give me the
23 specific paper, I will research the author for you.

24 **MR. ENSMINGER:** I'll get it for you.

25 **DR. HASTINGS:** Okay.

1 **MR. PARTAIN:** I'm getting it right now.

2 **MR. ENSMINGER:** Yeah, you've got it.

3 **MR. PARTAIN:** I've just got to get it from my
4 email.

5 **MR. ENSMINGER:** But you know, going back to
6 kidney toxicity, in the July 2015 meeting that was
7 attended by Dr. Breysse and Dr. Bove both, and the
8 Secretary of the VA was there, along with Senator
9 Isakson, where it was held in his office, and
10 Senator Burr and Senator Tillis and their staff
11 members, and the Secretary of the VA at that time,
12 Secretary McDonald, after the introductions were
13 made, basically took charge of the meeting and
14 announced that he was going to create a presumption
15 status for Camp Lejeune. And he said that he was
16 going to open the -- start it with four health
17 effects. And then he looked over at Dr. Breysse and
18 calling him by his first name said, Pat, would you
19 commit your agency to helping us come up with a list
20 of additional health effects to go on this
21 presumption. And Dr. Breysse replied in the
22 affirmative that he would. Now, ATSDR came up with
23 10 health effects for that presumptive list -- and
24 the Secretary's requirements were that they have
25 either sufficient or moderate evidence for

1 causation. Now kidney toxicity, or end stage kidney
2 disease was on that list and it was dropped by the
3 VA. Scleroderma was on that list and that was
4 dropped by OMB, for God's sake. Why do we keep
5 going over this? I mean, ATSDR did their due
6 diligence, they submitted the list that they were
7 asked for and then the VA drops it. I'll tell you
8 why it's dropped off, because it's one of the
9 highest claim health effects for veterans.

10 **DR. HASTINGS:** I'm willing to look at that with
11 you and if you want to discuss it with me I can go
12 forward and find out why. I like to believe it was
13 not for cost. We look at the science, but I'll have
14 to look at that with Dr. Breysse and you and happy
15 to look at it.

16 **MR. PARTAIN:** Have you read the 2015 IOM report
17 that you guys commissioned?

18 **DR. HASTINGS:** Yes, I have read the 2015 IOM
19 report.

20 **MR. PARTAIN:** Okay. Does it not say on there
21 that the recommendation for, I don't have the exact
22 wording --

23 **DR. HASTINGS:** There are many times that
24 recommendations are in, but VA separately looks at
25 the science and other research. So I will find out

1 what the history is. I don't go back to 2015, but
2 I'm very willing to look at the history with you.

3 **MR. ENSMINGER:** Well, if you look at science, I
4 mean, ATSDR didn't pull this out of their butt.

5 **DR. HASTINGS:** No, no. They are very
6 considered and very thorough and I do not know what
7 the other research was, I do not know the OMB issue,
8 so happy to look at that with you.

9 **DR. BREYSSE:** So, I'm sorry, is there an issue
10 in the audience?

11 **UNIDENTIFIED AUDIENCE MEMBER:** Yes.

12 **DR. BREYSSE:** All right, sir.

13 **UNIDENTIFIED AUDIENCE MEMBER:** I'm trying to
14 say, I've been on dialysis 18 years. From the time
15 it was discovered, my nephrologist found some poison
16 in my blood that she was definitely unfamiliar with
17 and I got documents and proof and everything.
18 Matter of fact, I just came from dialysis less than
19 an hour ago.

20 **MR. ENSMINGER:** And your kidneys have been
21 damaged for how long?

22 **UNIDENTIFIED AUDIENCE MEMBER:** Kidneys been
23 damaged for 18 years. I've been on dialysis for 18
24 years.

25 **MR. ENSMINGER:** When were you at Lejeune?

1 **UNIDENTIFIED AUDIENCE MEMBER:** I was at Lejeune
2 from '81 to '87, went back again in the Reserves,
3 again.

4 **MR. ENSMINGER:** Well, after '87 we're not
5 concerned, but you were there during --

6 **UNIDENTIFIED AUDIENCE MEMBER:** Yes.

7 **MR. ENSMINGER:** -- you were there during the
8 contamination period.

9 **DR. HASTINGS:** And I'm just going to throw out
10 -- and I'm very sorry for what you've had to go
11 through with this, but we also can look at claims on
12 an individual basis, they don't have to be tied to
13 Camp Lejeune. So you know, that is something that
14 we --

15 **UNIDENTIFIED AUDIENCE MEMBER:** What do you mean
16 tied to Camp Lejeune?

17 **DR. HASTINGS:** Claim -- you do not have to say
18 I -- if you believe that your military service has
19 caused an injury, we can look at claims on an
20 individual basis. It doesn't have to be a Camp
21 Lejeune claim.

22 **UNIDENTIFIED AUDIENCE MEMBER:** Who is we?

23 **MS. CARSON:** This is Laurine Carson, the
24 Department of Veterans Affairs. So if you are
25 claiming service connection for a condition that was

1 caused by the military service, whether or not it's
2 connected to a presumptive condition, you can make a
3 claim for that condition as being directly related
4 to your service. And on a direct case basis, we
5 have to look at all the evidence that is available
6 and your service treatment records, all of your
7 current medical evidence and we look for the link or
8 the nexus between that evidence and your time in the
9 military to determine whether or not you can be
10 service connected on a direct case basis.

11 **MR. ENSMINGER:** Well, science says that TCE is
12 hazardous to your kidneys, does damage. And I'm at
13 a loss. Why the hell was it dropped off the
14 presumptive list?

15 **MS. CARSON:** I don't know, and we can go back
16 and possibly try to find the answer to that question
17 for you. Why was it not considered in a presumptive
18 and provide you with that information. I do believe
19 we've answered that question in the past, but I'll
20 have to go back and look.

21 **MR. PARTAIN:** And like I said, we keep beating
22 this dead horse and, you know, you mentioned
23 veterans can turn in a claim if they feel that
24 they're service connected. One statistic that was
25 not mentioned during the briefing, do we have a

1 number of how many non-presumptive service
2 connection claims have been presented for Camp
3 Lejeune and what is the current approval rate of
4 those claims?

5 **MS. CARSON:** Are you talking about benefits or
6 healthcare, because your presentation was on
7 healthcare.

8 **MR. PARTAIN:** I'll be more specific, just to
9 narrow it down, how many of the -- there's 15 named
10 conditions in the 2012 law. Kidney disease is one
11 of them, breast cancer is another. Of the seven
12 conditions that are not presumptives, what is the
13 current approval rate for those conditions for
14 claims?

15 **MS. CARSON:** For benefit claims, I'll get that.
16 I'll get that information for you. I don't have it
17 with me today.

18 **MR. ENSMINGER:** When are we going to revisit
19 this presumptive program and take a look and update
20 it according to new science that's come out since?

21 **DR. HASTINGS:** I'm happy to set up a meeting
22 with you and go over for another --

23 **MR. ENSMINGER:** Well, not with me. I'll come
24 to the meeting, but I mean, this has to be done
25 between ATSDR and the VA.

1 **DR. HASTINGS:** Dr. Breysse, happy to have the
2 meeting with you.

3 **DR. BREYSSE:** Great, let's do it. So to be
4 clear though, you know, we're happy to assist the VA
5 in regard to the result, the question, the request
6 that you think we can support, we'll be happy to
7 discuss that and we'll be happy to review the
8 literature review in the previous report about the
9 strength of evidence for kidney conditions in
10 particular.

11 **DR. HASTINGS:** Thank you.

12 **MR. ENSMINGER:** I thought this thing was
13 supposed to be automatically done every three years,
14 revisited.

15 **DR. BREYSSE:** I don't believe that's been done,
16 that request has been made.

17 **MR. ENSMINGER:** Maybe we need to make it in the
18 law.

19 **DR. BREYSSE:** Okay. So Frank, when do we do
20 the review?

21 **DR. BOVE:** Pretty soon.

22 **DR. BREYSSE:** What time will the literature
23 cover?

24 **DR. BOVE:** When was the meeting, 2015 wasn't
25 it?

1 **MR. ENSMINGER:** Yeah, July 2015.

2 **DR. BOVE:** Yeah, the initial briefing of the VA
3 on what we had come up with was in 2015 in the fall
4 and then we had the continued discussions into early
5 -- early to mid-2016 so the literature goes that
6 far.

7 **DR. BREYSSE:** So it's a couple of years old,
8 perhaps.

9 All right. Any other VA issues before we move
10 on? Go ahead, ma'am.

11 **UNIDENTIFIED AUDIENCE MEMBER:** I have a
12 question regarding lung cancer and service connected
13 disability. Is it assumed that if a lung cancer
14 patient was a smoker that the smoking was the cause
15 and no effect at all from their service at Camp
16 Lejeune in relationship to the disability outside of
17 the healthcare that would be covered for lung
18 cancer?

19 **DR. BREYSSE:** Can you repeat the question so
20 everyone can hear it before we answer it, please?

21 **MS. CARSON:** So I think that what you asked was
22 whether or not when a person is claiming that lung
23 cancer as the result of exposure to the contaminated
24 water at Camp Lejeune, whether or not there's an
25 automatic assumption in the medical assessment and

1 in the opinion that the person's lung cancer is
2 related to some other type of exposure such as
3 events or history such as smoking or other things.
4 Dr. Dinesman, are you still on the line and can you
5 answer the question about how we look at all of the
6 other factors as related to the disability that a
7 person's claiming such as lung cancer, if the person
8 has a smoking history or some other type of
9 occupational history?

10 **DR. DINESMAN:** I would be happy to, Laurine.
11 Thank you. And thank you for that question. Now,
12 what we do from the clinical side is look at each
13 case individually and look at the various
14 contributing factors as to what may have been the
15 cause of that person's lung cancer. You know,
16 smoking is one of the most common causes of lung
17 cancer, but not the only one. So we look at them
18 individually. If the smoking appears to be the
19 greatest or, you know, when you weigh the
20 likelihood, so it's the greatest in terms of
21 probability of causing that lung cancer, then we
22 would have to say that the smoking was the most
23 likely cause. It doesn't mean that there weren't
24 other contributing factors and so yes, it is taken
25 into consideration, but we do look at what is the

1 most probable cause. And according to VA rules,
2 we're asked to look at it on the basis of what we
3 say is at least as likely as not, meaning 50/50.
4 And so if the smoking was greater than a 50/50
5 chance of being the cause of the lung cancer, it
6 would be attributed to the smoking.

7 **UNIDENTIFIED AUDIENCE MEMBER:** My concern is
8 how could it be -- my concern would be how
9 definitive in terms of percentages you could be if
10 there was a history of smoking in a lung cancer
11 patient who also served at Camp Lejeune, why would
12 it not be arguable scientifically, medically,
13 theoretically, that to some degree the exposure to
14 toxins at Camp Lejeune would play a part or could
15 possibly play a part in this patient's diagnosis of
16 lung cancer even though they were, indeed, a smoker,
17 it would be hard to rule out the possible cause
18 either way. I think it's, I mean, what's the
19 formula, how do you determine which exposure,
20 lifestyle or the water, which exposure had more
21 bearing on their cancer diagnosis? How could that
22 even be determined? How could you even formulate
23 any sort of, you know, realistic equation of even
24 with the number of years of smoking, their amount of
25 smoking, their age at which they are versus the

1 amount of exposure to the toxins there at Camp
2 Lejeune? How do you weigh out which had more of an
3 effect? You know, there's genetics involved. They
4 may have, you know, not developed other types of
5 cancers but the type of cancer that it seems like an
6 easy out to say they were a smoker and so that's the
7 only reason. Which there are other patients who
8 have lung cancer who were exposed to Camp Lejeune
9 water who weren't smokers. So how do you rule out
10 that there was any -- that there was no effect at
11 all of the exposure? How could you possibly say
12 that?

13 **DR. DINESMAN:** We don't try to rule out whether
14 there was no effect at all. And I wish there was a
15 scientific means by which, you know, we could get a
16 test of some sort that says this cancer was caused
17 by, you know, a certain exposure or not. Think of
18 the smoking as another exposure and so you've got
19 somebody with multiple different exposures. What
20 we're left with is looking at the individual case,
21 you know, how many years did they smoke, do they
22 have any other factors, as you said, such as
23 genetic, et cetera. And then try to put together as
24 best as possible a probability of which was the most
25 likely. And again, the most likely was getting to

1 that 50/50 mark. So if you've got somebody that
2 let's say was a heavy smoker and their exposure at
3 Camp Lejeune was, you know, less likely, it doesn't
4 mean that the Camp Lejeune exposure was not a
5 contributing factor but was probably not the cause,
6 at least statistically.

7 **MR. PARTAIN:** Dr. Dinesman, from what you're
8 saying there it sounds like there's some type of
9 checklist or mathematical formula that is being
10 filled out to determine whether a veteran is going
11 to exceed this 50 percent threshold. Would you guys
12 care to share this formula? I mean, that's what it
13 sounds like.

14 **DR. DINESMAN:** Mike, no, we don't have a
15 formula of any sort. The law or regulations state
16 that we need to consider things in terms of apropos.
17 Whether or not there's a 50/50 chance and if, you
18 know, it gets to that 50/50 then the rule is in
19 favor of the veteran. But no, there are no
20 equations, there are no specific rules and as I
21 said, it's looked at on a case by case basis.

22 **MR. ENSMINGER:** Well, you know, science has
23 pretty much narrowed down the exposure to let's say
24 asbestos and smoking. Okay. So why can't science,
25 medical science, narrow down what the effects are of

1 smoking and exposure to TCE?

2 **DR. HASTINGS:** I think that's what they're
3 trying to do with the national cancer study. If I
4 could ask if that's one of your outputs or one of
5 your -- the things you'll be looking at.

6 **DR. BOVE:** We certainly will look at lung
7 cancer. We don't have smoking information, so the
8 way we'll handle that is to use other methods to try
9 to tease out if smoking is what we call a
10 confounder, it gets in the way of the association
11 between TCE and lung cancer. So we will do that.
12 We did that for the mortality study as well.

13 **DR. BREYSSE:** But to be clear, we aren't going
14 to look at a synergistic effect between smoking and
15 TCE exposure. That would be beyond what we're
16 capable of doing in this study.

17 **DR. BOVE:** Right.

18 **MR. PARTAIN:** The other thing too, Dr.
19 Dinesman, and you mentioned the SMEs and we've gone
20 round and round over this, but for the benefit of
21 the audience, people who have not been here for the
22 past several years, five years now going on with the
23 SME program. I read this in a veteran's denial that
24 was sent to me on the internet the other day. But
25 they had a nexus letter provided by their doctor

1 linking their exposure to Camp Lejeune. And in the
2 denial the language was that the, and I'm
3 summarizing it and paraphrasing the language, but
4 the VA came back and basically said that the --
5 their SME was better trained and had better
6 knowledge and therefore more weight was given to the
7 SME over the veteran's treating doctor. And the
8 reason I keep bringing this up is because, you know,
9 it is said, oh well the veteran can turn in the
10 claim. And the question I asked earlier about the
11 percentages, it seems to us that the cards are
12 stacked against the veterans when it comes to
13 getting any claim through that is not one of the
14 eight, so. And that goes back to the registry,
15 which I haven't forgot about and I do want to
16 discuss before we leave today.

17 **DR. DINESMAN:** To answer that question, sir,
18 the decision making on which numerous opinions are
19 accepted as far as the ratings concerned, it is a
20 VBA decision, it's up to the adjudicator. The CMP
21 or VHA examiner is only providing an opinion and
22 that's one of many documents that the adjudicator
23 will look at and make a decision on. So that's not
24 something that the VHA examiner is involved with as
25 far as the decision is concerned. But I will say

1 that I looked at a case recently where there was a
2 private examiner who gave an opinion and it was
3 approximately a six page report and was very
4 thorough in describing this examiner's indication,
5 their publications, et cetera, but when it came to
6 the actual discussion of the nexus or the connection
7 there were only two sentences. And it basically
8 said, well I believe it is -- there is a connection.
9 And while that is an opinion, you've got to remember
10 that there has to be some sort of substance behind
11 an opinion, a support for it, and that's something
12 that the adjudicator is going to be looking for, I
13 would imagine. And again, we don't reach beyond the
14 -- on the clinical side we don't adjudicate the
15 cases. But I would imagine somebody looking at
16 various nexus statements would look at how well
17 they're supported. And so I would caution to not
18 look at a person based on whether they're private or
19 whether they're an, you know, quote unquote expert
20 or whether they're of a certain occupation or
21 specialization. But I would caution to focus more
22 on the substance of the opinion and whether that
23 opinion has been backed by appropriate either
24 science or other documentation or publications.

25 **MS. CARSON:** Dr. Dinesman, this is Laurine.

1 Mike. I wanted to say to you guys, so here -- so
2 the -- would it be adjudicated as the claim. They
3 get the decision back and the VA examination, they
4 have all the evidence before them. They have to
5 look at the whole disability picture, what is the
6 evidence showing as a whole. They don't just look
7 at one piece of evidence. And if you have somebody
8 who's saying because it's a VA doctor they have more
9 weight, then I want to see that decision that you're
10 talking about so you can just send it directly to me
11 and let's talk about what that shows. Because it's
12 not based on whether the VA provides the decision or
13 whether it is a decision provided by someone else.
14 Now, it might be that this decision provided by
15 somebody else was 10 years ago and we have a current
16 need for an examination so those things don't
17 necessarily match up because the disability picture
18 doesn't match. But it should never be that we just
19 say because it's VA, we believe ourselves. So if
20 you have that claim that you just said that you
21 have, then certainly send it to me and let me look
22 at it because that's what my staff can do.

23 **MR. PARTAIN:** I'll certainly -- I've got to get
24 permission from the individual and I'm on vacation
25 till the end of the week, so it will be probably

1 next week. We did provide an example, I believe, of
2 a similar one to the now Secretary when we were up
3 there.

4 **MS. CARSON:** Okay. I'll follow up and see if
5 they -- they haven't sent it down to us, so I'll
6 wait.

7 **MR. PARTAIN:** And I'll get you some. Dr.
8 Dinesman, in going back, and I understand what
9 you're saying, I'm not worried about the ratings.
10 The language on there, and I've sat in a VA hearing
11 with a judge and discussing an SME report where the
12 judge basically came back and said, you know, the
13 SME has all this and asked the veteran do you have a
14 similar report and the veteran did not and the judge
15 said, I can't go against this, so. But going back
16 to the SMEs, now I understand y'all are using
17 private contractor -- contracting SMEs. Dr.
18 Dinesman, can you give me an idea of the cost that
19 the VA is paying to these contract -- one individual
20 report to a contracted SME; how much does that cost
21 the VA to have that completed on a veteran?

22 **DR. DINESMAN:** I'm going to need to defer that
23 to VBA because it was a VBA contract and I have no
24 information about that. I'm sorry.

25 **MS. CARSON:** So just so I can -- This is

1 Laurine Carson. So that I can clarify what you're
2 asking, Mike. You want to know the difference --
3 how much it costs for VA internally to do an exam
4 versus how much it costs for a contractor to do an
5 exam.

6 **MR. PARTAIN:** Or either one, either one. I
7 mean, the reason why I'm asking the external because
8 that's a contracted price. So if I'm going to -- if
9 I'm an independent SME and I get Craig's claim to
10 review and I conduct a review on that claim it costs
11 the VA X amount of dollars. I'm just kind of
12 curious to see what that is.

13 **MS. CARSON:** I'll have to -- I have to take
14 that one for the record and get you that
15 information.

16 **MR. PARTAIN:** Okay. And the reason why I'm
17 bringing this up, I mean, Dr. Dinesman was talking
18 about the six page report he got from an attending
19 physician saying that it's my opinion but the other
20 five and a half pages was his credentials. When a
21 treating doctor is seeing an individual, especially
22 you know, I'm a 10-year or now 11-year cancer
23 survivor, my Dr. Moffits (ph) has seen me since 2009
24 and at one point I was traveling down 230 miles to
25 go see him. But that relationship, I mean, he knows

1 me, he knows who I am, he knows the ins and outs,
2 all those nuances of both my chemotherapy, my
3 condition, my disease. He knows that and he can
4 render an opinion and he can tell me.

5 **MS. CARSON:** Absolutely.

6 **MR. PARTAIN:** Now, and he can write it down in
7 a sentence. Okay. But there's no way to convey
8 that eight years of knowledge unless he does a
9 formal report. And if I as an individual go to my
10 doctor and say hey doc, you know, can you write me
11 this, you know, your analysis and not only your
12 analysis, can you go through the JAMA and go through
13 the medical journals and pull out supporting
14 documentation to support what your rationale is;
15 could you do that for me? And he'll say yeah, sure
16 Mike, I'll do that but it's going to cost you \$2000
17 -- or I'm making up a number there. Some of these,
18 I've gotten feedback from people that have gone out
19 and gotten their own SME review and it's anywhere as
20 cheap as 500 to \$3000. Okay. And I brought this up
21 again, how is this fair for the veteran? Especially
22 if, you know, having a cancer or medical condition
23 that's debilitating, it's financially draining and
24 you guys are, you know, in essence hiring SMEs,
25 paying SMEs to do these types of reports. And then

1 when you get a medical opinion from a treating
2 doctor that has seen these veterans sometimes for
3 years, the language comes back, our guy's better
4 trained, our guy's provided an extensive thing,
5 their report is weighted over your treating doctor.
6 I have a problem with that and I want it
7 (inaudible).

8 **MS. CARSON:** Okay. And as I said before, show
9 me that language in the ratings so that I can
10 address that issue.

11 **MR. ENSMINGER:** Where is the VA with the SME
12 report that is required by the omnibus legislation?

13 **MS. CARSON:** The omnibus legislation gave us
14 180 days to do it, and we are on track.

15 **MR. ENSMINGER:** And why -- and just to go back,
16 why is Camp Lejeune the only environmental exposure
17 issue that the VA deals with that is being subjected
18 to an SME program?

19 **MS. CARSON:** So Jerry, I don't agree with you
20 that it is the only one subjected to a similar SME
21 program.

22 **MR. ENSMINGER:** According to this you are.

23 **MS. CARSON:** We're saying we do the same for
24 radiation which requires us to have a health
25 physicist assess and provide an opinion on each of

1 those cases when we're talking about the exposure.
2 So --

3 **MS. ENSMINGER:** How many claims you get for
4 radiation?

5 **MS. CARSON:** Quite a few. I'd have to go back
6 and get you the actual data and statistics on it.
7 But as I said before, we are answering the omnibus
8 directives.

9 **MR. ENSMINGER:** And with radiation, you going
10 to the Department of Energy who have -- really have
11 subject matter experts, right?

12 **MS. CARSON:** No. We -- by regulation, in the
13 regulations it states that we first we go and get
14 that opinion from VHA and when we need a reconciling
15 opinion we go to NIH.

16 **MR. ENSMINGER:** NIH?

17 **MS. CARSON:** We do.

18 **MR. ENSMINGER:** Why aren't you doing that with
19 Camp Lejeune SMEs?

20 **MS. CARSON:** Because we -- when we get a
21 reconciling opinion we get a reconciling opinion,
22 generally, from a -- one of the universities and
23 others who work with us. But the SME program for
24 radiation and for us begins in VHA, so that's a
25 decision that's made in VHA so that decision to get

1 that type of an opinion, if they needed assistance
2 in getting that opinion from someone else, I would
3 imagine that Dr. Dinesman and staff would do so.

4 **DR. BREYSSE:** All right, so we're getting to
5 the end of the session. I'd like to ask if the VA
6 can one more time for the benefit of the people who
7 are watching or in the room, what services they can
8 help out with outside.

9 **MS. CARSON:** No problem. This is Laurine
10 Carson. I wanted to just let you know that we have
11 two of our adjudicators outside, claims processors
12 from the benefits administration. So if you wanted
13 to have questions answered about the status of your
14 claim, how to file a claim and other information
15 related to your claim, they'll be out there. I'll
16 be out there at the break, as well. I'd also like
17 to let you know that if you have questions dealing
18 with healthcare eligibility or family members here,
19 they won't be able to answer those direct questions,
20 but they can take your information and we can get it
21 to someone so that they can get you a response.

22 **DR. BREYSSE:** Thank you. I'd like to move now
23 to action items from the last CAP meeting.

24 Commander Mutter.

25 **ACTION ITEMS FROM PREVIOUS CAP MEETING**

1 **CDR MUTTER:** Okay. We'll start the list of our
2 VA action items, the first one being a CAP member
3 asked for a digital copy of Mr. Ives' presentation.
4 That was given yesterday, I believe, I sent that out
5 to the CAP.

6 The second one, a CAP member requested the VA
7 provide a copy of the quality standards checklist
8 and training materials used to train contract
9 examination vendors. I think the quality standards
10 checklist was also with that email sent out. But if
11 you'd like to speak to the training materials,
12 please.

13 **MS. CARSON:** So the training materials are
14 VHA's materials and I'm not sure that, Dr. Dinesman,
15 did you guys provide those training materials that
16 we use to train folks?

17 **DR. DINESMAN:** My understanding was -- let me
18 think back to. We did not provide that information
19 because we had changes from one training to the
20 other based on the updates and so we would have to
21 look at which actual training course is being
22 requested and also it would have to be cleared
23 through the VA to make sure that it is listed
24 appropriately or has met all the requirements and is
25 official, quote/unquote, publicly available VA

1 information.

2 **MS. CARSON:** Right. I think that, Dr.
3 Dinesman, last time at the last meeting I did
4 express to the CAP that for the purposes of
5 information that is not able to be made available to
6 the public that they would have to do a Freedom of
7 Information request.

8 **DR. DINESMAN:** Correct. Thank you, Laurine.
9 And I also want to update the folks, and I think I
10 did say this in our last meeting, is that we are
11 working on a formal which will be publicly available
12 and will be on the VA training site. We will be
13 putting together a Camp Lejeune contaminated water
14 training course that will be fully publicly
15 available.

16 **CDR MUTTER:** Thank you. The next action item
17 is CAP member asked to see the contract and the
18 scope of work for the contract examination vendors.

19 **MR. PARTAIN:** Before we go to Laurine's
20 question, I just wanted to read the language 'cause
21 I found the -- one of the posts on there with the VA
22 denial. The language that was in the denial, and I
23 will go to the person to get it for you. While you
24 submitted positive medical evidence to support your
25 claim, we found the recent VA medical opinion more

1 persuasive because it is better supported in its
2 rationale and conclusions.

3 **MS. CARSON:** Okay.

4 **MR. PARTAIN:** And I'll get the veteran's
5 denial, I think I already have it, but I need to get
6 permission to share it.

7 **MR. ENSMINGER:** And that was some SME that was
8 ordained a SME willy-nilly that you -- I mean, if
9 you're going to have a subject matter expert
10 program, don't you believe that you should have
11 subject matter experts instead of family clinicians
12 filling those spots? And then you say, oh well
13 we're providing them training. Well, then they're
14 not subject matter experts are they, if you have to
15 provide them training?

16 **MS. CARSON:** Okay. So I would say just for the
17 VBA contractors, we told you all of the
18 qualifications for those contractors and they all
19 are specialists in occupational medicine and in
20 those different things. So that's the VBA
21 contractors. But I can't speak to the
22 qualifications of the VHA. Dr. Dinesman is on the
23 phone for that.

24 **MR. ENSMINGER:** The VBA --

25 **MS. CARSON:** Contract examiners --

1 **MR. ENSMINGER:** Yeah?

2 **MS. CARSON:** -- all have to have a certain
3 specialty in order to do these examinations.

4 **MR. ENSMINGER:** Really?

5 **MS. CARSON:** And we actually shared a full
6 slide presentation on that at the last CAP meeting.

7 **MR. ENSMINGER:** Yeah. You had one that just
8 got out of prison.

9 **MS. CARSON:** For tax evasion, yes. You brought
10 that to our attention, we know that.

11 **MR. PARTAIN:** And we brought it to the
12 Secretary's attention.

13 **MS. CARSON:** That person was not though --
14 their license was not revoked by the Medical
15 Association. The person is no longer working, we
16 did -- we had a little bit of flex. She, I said the
17 person, but she is no -- yeah, she is no longer with
18 our contract.

19 **CDR MUTTER:** Okay. So to move on and to repeat
20 the question, the earlier action item, the CAP
21 member asked to see the contract and the scope of
22 work for the contract examination vendors.

23 **MS. CARSON:** Okay. All of the requirements for
24 the contract and the information is on the Federal
25 Register. Also, I talked to -- I'm checking on the

1 status of whether or not this information is
2 publicly available through GA, the GAO procurement
3 websites and all the websites where they house all
4 government contracts. So I will get a link to that
5 information for you.

6 **CDR MUTTER:** Thank you. The next one for the
7 VA, a CAP member asked the VA to provide the special
8 focus review pass/fail percentage overall for Camp
9 Lejeune.

10 **MS. CARSON:** There is currently no special
11 focus review conducted with a pass/fail percentage
12 for Camp Lejeune. We have not initiated one for
13 that purpose. We are, however, responding to an
14 omnibus directive that includes us completing a
15 special focus review on the quality of our Camp
16 Lejeune cases and our opinions. That report will be
17 made available to Congress at the end of September.

18 **CDR MUTTER:** Thank you.

19 **MR. ENSMINGER:** I have a question. You said
20 that radiation claims go through this SME --

21 **MS. CARSON:** I said they have a similar SME
22 department, yes.

23 **MR. ENSMINGER:** Okay. But you said you have
24 quite a few radiation claims?

25 **MS. CARSON:** I told you that I would find out

1 how many we have. Yes.

2 **MR. ENSMINGER:** Please. I would like to know
3 how many radiation claims you deal with each fiscal
4 year compared to the number of Camp Lejeune claims
5 that you deal with. And what I don't understand
6 about this whole thing is the Vietnam veterans that
7 submit claims for Agent Orange are not subjected to
8 -- if it's not on the presumptive list of health
9 effects, they're not being subjected to a subject
10 matter expert review, whether or not it was -- it
11 could be deemed, they just go through the normal
12 claims process. I mean, and if they got somebody
13 that'll write them a nexus they submit it, but
14 they're not subjected to the subject matter expert
15 program. Why?

16 **MS. CARSON:** I don't know, Jerry. I think that
17 -- I think that -- I can't necessarily do a
18 comparison between what's happening right now with
19 regards to the requirements and the -- what's
20 happened with the Vietnam. I will tell you this
21 that prior to the new legislation that added and the
22 three new presumptives, we had like, for instance,
23 we had several Vietnam veterans who were claiming
24 disabilities that were not considered presumptive
25 disabilities. And for them we would either service

1 connect them on a direct basis, or we would say that
2 the disability wasn't a presumptive condition or the
3 person, this particular group of people didn't have
4 -- was not -- were not part of the presumptive
5 Vietnam persons, you know, that we had the problems
6 with, whether or not how close whether they were in
7 land and touch foot versus whether they were in the
8 brown water. So when we make --

9 **MR. ENSMINGER:** No, I'm not talking about brown
10 water or blue water here, I'm talking about regular
11 Vietnam --

12 **MS. CARSON:** But what I'm trying to explain to
13 you is that --

14 **MR. ENSMINGER:** So am I.

15 **MS. CARSON:** Okay. But what I'm trying to
16 explain to you is that prior to creating the
17 presumptives, period, or the presumptive re -- the
18 region where we created the presumption, we had
19 people file claims that we required a, for instance,
20 for our conditions, a cardiologist to go and look to
21 see whether or not that claim was related, was
22 actually directly service connected. And we do ask
23 for a cardiologist to look at those claims. So we
24 always have specialists within our claims process
25 regardless of whether there is a presumption or not.

1 I can't speak directly to why for Camp Lejeune we
2 had the program that we had with regards to getting
3 opinions, but I will say that we are trying our best
4 to get the best disability picture. And we are
5 also, in these instances, relying on a lot of the
6 publications and other backers that are beyond just
7 the disabilities themselves.

8 **CDR MUTTER:** Thank you. Okay. So the next
9 action item is a CAP member requested the
10 qualifications of the doctors in the SME program,
11 for example, do they have an environmental
12 background.

13 **MS. CARSON:** VBA, so we told you in our last
14 meeting, our VBA contract examiners all have a
15 specific background and we provided that information
16 in a slide presentation during that week and we
17 followed up by submitting that slide presentation
18 which outlines what backgrounds they have.

19 **CDR MUTTER:** Okay. The next one, the CAP
20 requested more information on the backgrounds of the
21 contract examination vendors, i.e. company names and
22 affiliations.

23 **MS. CARSON:** That's also included in that slide
24 deck.

25 **CDR MUTTER:** The CAP requested information on

1 VA employee Deborah Heeney and her possible conflict
2 of interest.

3 **DR. DINESMAN:** This has been investigated and
4 looked into by VA and no conflict of interest was
5 found.

6 **MR. ENSMINGER:** Go figure.

7 **CDR MUTTER:** Okay. The next action item is the
8 VA received -- will provide historical data of the
9 number of veterans the VA has provided healthcare,
10 for the next meeting, in-person meeting. Did we do
11 that in the presentation earlier?

12 **DR. HASTINGS:** That was in the presentation.

13 **CDR MUTTER:** A CAP member asked how many of the
14 administratively eligible family members are not
15 receiving care because their condition is not
16 included in the act.

17 **DR. HASTINGS:** That was in the presentation.

18 **CDR MUTTER:** A CAP member asked the bottom
19 dollar budget amount that the family member program
20 costs every year as opposed to what it pays out.
21 Dr. Hastings said it is mostly personnel and she
22 would provide that information.

23 **DR. HASTINGS:** And that was -- but you did not
24 have the slide. I think it's in the other deck that
25 you may have.

1 **CDR MUTTER:** Okay. I will see if I have that;
2 if not, I'll get with you and I'll resend it out to
3 the CAP. The next action item is a CAP member asked
4 for the author of a white paper related to the IOM
5 report discussed in the meeting to be identified.
6 Dr. Dinesman asked the CAP member to send him the
7 report. And Mike, I think you were going to do
8 that, is that right?

9 **MR. PARTAIN:** Say that again, I was --

10 **CDR MUTTER:** The white paper author, you were
11 going to provide the white paper to Dr. Dinesman.

12 **MR. PARTAIN:** Yeah, I just sent that to Dr.
13 Hastings.

14 **CDR MUTTER:** Okay.

15 **MR. PARTAIN:** It's in your email.

16 **DR. HASTINGS:** Okay. And I'll get that to Dr.
17 Dinesman so he can review it.

18 **CDR MUTTER:** Wonderful. Okay. Two more for
19 the VA. The CAP members asked that the materials
20 being presented during the SME training course are
21 publicly available. Ms. Carson will inquire.

22 **MS. CARSON:** Okay. This is Laurine Carson. I
23 was confused. So prior -- in a earlier request we
24 asked about getting the training materials, right?
25 And so then in this request which training materials

1 are we requesting? Are we requesting that VA get
2 additional training materials that the contractors
3 may be using to train up their folks who are doing
4 these exams? What is the question?

5 **MR. PARTAIN:** Oh, the training material, I
6 don't know if this, you know, coincides with our
7 lawsuit from VA law school on the SME training
8 material but, you know, I would like to see what is
9 being provided to the contractors, you know, as far
10 as direction criteria, bibliography, what type of
11 materials that, you know, these people are being
12 provided by from the VA so they can conduct their
13 reviews.

14 **MS. CARSON:** Okay. And so I would say that
15 those are the same exact training materials because
16 we have our VHA special, our VHA DMA group is Dr.
17 Dinesman's group is the one who provides those
18 training materials, so they are the persons who
19 should be providing those materials.

20 **MR. PARTAIN:** Okay. I know --

21 **MS. CARSON:** So I just want to -- because it
22 came in two places, I was not sure if you were
23 saying hey, VBA go to each one of these vendors and
24 tell them to give you what they are using at their
25 vending site, because I would have to tell you that

1 we don't have jurisdiction over that. You would
2 have to get that directly from those vendors.

3 **MR. PARTAIN:** I know, we're still waiting on
4 that. I mean, that's part of the --

5 **MS. CARSON:** Yeah. Exactly, yes.

6 **MR. PARTAIN:** -- because that's part of the
7 Yale Law School -- Yale Law School school's suit
8 that we filed two years ago.

9 **MS. CARSON:** Right, to request the training and
10 to get VHA -- to get the VA --

11 **MR. PARTAIN:** Three years ago.

12 **MS. CARSON:** -- to get the VA's training
13 materials that are used for the CLCW persons who
14 conduct those medical opinions. That's going to be
15 -- that's forthcoming in that lawsuit materials.

16 **MR. PARTAIN:** Yeah. And that's, you know, we
17 go round and round over this and, I mean, and
18 forgive me if I have a lackadaisical attitude, it's
19 more of just ambivalence, but we keep going over
20 this same dead horse, beating it over and over again
21 and, you know, we keep asking the questions, you
22 know, why aren't you guys looking at renal toxicity
23 and the SME reviews. The questions on the SMEs like
24 the lower right, this right here, it would be
25 considerably less painful if the VA was more

1 transparent and provided these things without having
2 to file a lawsuit or go to Congress or go to, you
3 know, wherever we have to to try to drag it out of
4 y'all. And I understand that you're not the one
5 that, you know, is making the decisions but, you
6 know, you are the representative that's here from
7 the VA. But it is extremely frustrating because,
8 you know, I asked about the denial rate earlier and
9 I do want to get the current one. But the last
10 denial rate that we got, you know, after the
11 implemation -- implementation of, I'm having a
12 speech problem today, but implementation of the SME
13 program was the approval rate had dropped from
14 around 25, 26 percent which where it was for years
15 to around just below five percent as far as
16 approvals for, you know, after SME reviews. So you
17 know, like I said, there's a lot of history behind
18 this and it's really complicated to get into but,
19 you know, we should be, in addition to our numbers
20 when we get that, I would like to see a denial or
21 approval rate for non-SME, I mean, non-presumptive
22 claims. The difference between, you know, the seven
23 on the 2012 list.

24 **MS. CARSON:** So that I can clarify and make
25 sure that I'm understanding what you're requesting,

1 you want -- you asked about an approval and deny
2 rate that pertains, first and foremost, to the
3 family care program. I heard that question. And
4 then I also just heard the denial rate for the SME
5 ratings?

6 **MR. PARTAIN:** Yeah. Well, the approval,
7 specifically approval rate for veterans claims and
8 that's what I was talking to you about earlier, you
9 mentioned the family program. But I'm directing
10 that towards the veterans and I would like to see
11 what the approval rate for, you know, the non-
12 conditions, the non-presumptive conditions --

13 **MS. CARSON:** So of the --

14 **MR. PARTAIN:** -- and what we're dealing with.

15 **MS. CARSON:** So we have the 15 conditions that
16 are Camp Lejeune, you want to know for those that
17 are non-presumptive, what is the grant or denial
18 rate of those.

19 **MR. PARTAIN:** Yes.

20 **MS. CARSON:** And then you -- and the grant/
21 denial rate for those that are presumptive so you
22 can do a comparative --

23 **MR. PARTAIN:** Yes.

24 **MS. CARSON:** -- analysis. I just wanted to
25 make sure I have your question --

1 **MR. PARTAIN:** Yeah, I don't want to --

2 **MS. CARSON:** -- for data.

3 **MR. PARTAIN:** I'm not interested in the -- what
4 I'm interested in seeing is the, you know, the non-
5 presumptives, but I don't want to, you know, to
6 bring up Brad Flohr's famous quote about toenail
7 fungus. I don't want to know the -- I don't want
8 the approval rates or denial rates on toenail
9 fungus, but I want to see, you know, what we're
10 looking at for breast cancer, esophageal cancer, you
11 know, renal toxicity --

12 **MS. CARSON:** Yeah, the 15 conditions.

13 **MR. PARTAIN:** Yeah.

14 **MS. CARSON:** The 15 conditions of the 2012 law.

15 **MR. PARTAIN:** Yes.

16 **MS. CARSON:** And you want -- so if eight of
17 those have become presumptives, you want to be able
18 to look at the 15 --

19 **MR. PARTAIN:** The other seven.

20 **MS. CARSON:** Yes. You want to look at the
21 other seven. And so what I'll do is I'll get you
22 overall from 2012 to now, if I can. And then from -
23 - specifically from when the law was enacted, that
24 data, so that you can do the comparison for the time
25 the presumptive existed -- presumption existed in

1 the time that it -- that those other conditions
2 existed around the same time frame, I'll get you
3 that.

4 **MR. PARTAIN:** Okay. Thank you.

5 **CDR MUTTER:** And if I could just jump to
6 Bernard; I see that your name tent's up.

7 **MR. HODORE:** Okay. Thank you. I have a
8 question. Why if an autoimmune doctor, world
9 renown, makes a connection with family -- makes a
10 connection while a family physician allow SME to
11 deny that opinion, non-skilled SME people, ATSDR
12 recommend toxicologist?

13 **MS. CARSON:** Dr. Dinesman, it sounds like an
14 SME question, but let me see if I can recap it.
15 Your question, Bernard, is why if there is a person
16 filing a claim and there is an opinion as part of
17 that claim, a medical opinion provided in the claim
18 from a private person who is world renown, talking
19 about a world renown toxicologist, being not viewed
20 as information, I know I'm going to mess this up.
21 And then the VHA SME says that there is no existence
22 of that exposure but between the two different. So
23 the private physician is saying there is exposure,
24 the VHA doctor is saying that this person doesn't
25 qualify. Is that what you --

1 **MR. HODORE:** Yes.

2 **DR. DINESMAN:** This is Alan. Actually, it's
3 interesting you refer to ATSDR's case studies on
4 environmental medicine, the health series. In the
5 one looking at exposure, ATSDR states that extensive
6 knowledge of toxicology is not needed to diagnose
7 environmental and occupational disease. The
8 criteria employed are the same as those used to
9 diagnose any other medical problems. Medical
10 specialists such as Board certified clinicians
11 specializing in occupational and environmental
12 medicine or medical toxicology can assist the
13 primary healthcare provider in the evaluation and
14 management of patients exposed or potentially
15 exposed to hazardous substances. So ATSDR basically
16 says in this that basically specialization is not
17 necessary for the evaluation of occupational in
18 toxic exposures.

19 **DR. BREYSSE:** If I can just make sure, I think
20 that's probably an oversimplification of what we
21 said. That's probably not accurate, but I think I
22 understood what you read, but that last summary
23 probably is not accurate.

24 **CDR MUTTER:** Okay, thank you. And just to
25 finish up the VA's action items, and I know we

1 brought this up earlier, a CAP member asked that the
2 VA create a registry for Camp Lejeune.

3 **DR. HASTINGS:** Tell me what you expect from a
4 registry and I'll take down notes.

5 **MR. PARTAIN:** I'm answering a question right
6 now.

7 **DR. HASTINGS:** Okay.

8 **DR. BREYSSE:** Can I propose something, because
9 this could be a lengthy discussion? Can we, ATSDR
10 set up a meeting with the VA just to talk about what
11 the registry might be, a separate phone conversation
12 to have this to hash this out? Does that -- would
13 that be an okay path or...

14 **MR. PARTAIN:** Yeah, we can do that too, but you
15 know, I'm kind of, I mean, yes to answer your
16 question. I don't have a problem with that. But on
17 the registry, my first question, to answer, is why
18 such the push back? I mean, that's -- what do you
19 expect a registry, I mean, that --

20 **DR. HASTINGS:** Well, we have six registries for
21 environmental health. We have the Agent Orange, we
22 have the ionizing radiation, toxic embedded
23 fragments and depleted uranium. They're not really
24 registries, as such, they're more surveys. We have
25 the Gulf War and we have the airborne hazards open

1 burn pits. And frankly, those are -- they're a
2 phone book so that we could invite people in for
3 research. They're a phone book so that we can send
4 out information to people about programs and
5 information that may be updates. And as I see it,
6 you have updates and information coming out from the
7 list that you currently have at the Marine Corps.
8 Now, if there's something different that would be
9 expected, I would like to discuss it 'cause not
10 opposed to it, I just don't know that it would bring
11 you the benefits that you might think it would. So
12 another discussion where we can go into this in
13 greater depth would be great.

14 **MR. PARTAIN:** Well first off, the Marine Corps
15 does not provide healthcare benefits or anything to
16 these veterans.

17 **MR. ENSMINGER:** It's a propaganda tool.

18 **MR. PARTAIN:** Exactly. And it has been used as
19 such and I, you know, in the past. And I mean,
20 everybody that is on the Marine Corps registry has a
21 packet that they get from Marine Corps. We have had
22 to beg, plead, and everything to get information
23 out. So as far as kicking back and say oh, the
24 Marine Corps has a registry, it frankly, it's
25 useless. Second, you know, we have gone, when you

1 talk about the reasons you just gave us with the
2 other six registry, it is something that we need for
3 the community, so those reasons do apply.

4 **DR. HASTINGS:** And I just will tell you that
5 with the Agent Orange, et cetera, those have
6 significant issues because those are self-identified
7 registries and you probably know from reading the
8 airborne hazards IOM report that anything that is a
9 self-identified registry is a -- has significant
10 limitations in its use for research. And you have
11 the research that is currently being done by ATSDR.
12 Again, happy to have a longer discussion.

13 **MR. PARTAIN:** I understand that, but you're
14 asking me for reasons, I'm going to give you
15 reasons.

16 **DR. HASTINGS:** Absolutely.

17 **MR. PARTAIN:** And when you're talking about
18 self-identifying registries and what have you,
19 here's a great example, and this is a personal
20 example. Eleven years ago or no, 10 years ago, I
21 walked into this building after completing my last
22 round of chemotherapy. I was about as white as
23 Laurine's shirt right there and I was male breast
24 cancer, number one. I self-reported. I came in
25 here and joined the CAP and became active. And over

1 the course of the past 10 years, I found, been
2 contacted, run down 115 men with male breast cancer
3 that have the same commonality of the disease and
4 exposure to Camp Lejeune. That, you know, those
5 efforts, that self-identification also led to a
6 study the ATSDR completed that is showing, you know,
7 that showed a early onset of, I don't know the
8 terminology of it, but I'll let Frank do that, but
9 it did show some things. And I understand from
10 Frank too that, you know, the large part of that
11 came about because of us in the community coming and
12 saying, hey. So yes, that does serve a purpose for
13 getting a registry. There are other diseases out
14 there, rare diseases, things that haven't, you know,
15 that aren't showing up because they are rare. One
16 of the things that we see in, you know, in the
17 community, you know, like for example, I've got a
18 Facebook page, called Camp Lejeune Toxic Water
19 Survivors; started it under two years ago and in the
20 past two years that page has grown to over 11,000
21 people now. It's actually bigger than the website
22 The Few, The Proud, The Forgotten. Constantly we're
23 getting people, hey, I've got this condition here,
24 does anyone else. And then they get responded to or
25 they talk to. Donna Stratford is a member on our

1 website from y'all and she posts on there as well.
2 But you know, these people want to communicate to
3 the VA, they want to say hey, I was at Camp Lejeune,
4 I have kidney cancer, I have colon cancer, I have
5 esophageal cancer, and these numbers need to be
6 counted and they need to be out there. So if on
7 your registry you're seeing oh, well there's 115 men
8 with breast cancer, we need to look at that or
9 either bring that up or, you know, share that with
10 community so we can have ATSDR or someone else --

11 **DR. HASTINGS:** And those are looked at through
12 the VBA with the list that we have there where we
13 can say these are the claims and look at health
14 outcomes.

15 **MR. PARTAIN:** Well, the other thing too is in
16 the past, and I brought up the toenail fungus,
17 because in the past trying to get information from
18 the VA of how many conditions are here, it was
19 confusing. We -- at one time we were being told, oh
20 there's 30,000 conditions being claimed for Camp
21 Lejeune. What was that? And there was no rhyme,
22 sense, or reason, even with the numbers we're seeing
23 here today, we're not seeing the numbers that are
24 pre-2012 because I believe that's when y'all started
25 tracking. I know when Congress has asked for these

1 numbers they've gotten different figures. And with
2 the registry, hopefully, some of that will be sorted
3 out. And the community wants to help out too, they
4 want to say hey, I was here, this has happened to
5 me, and they need a place to go. The Marine Corps
6 has not provided that place and they will not, and
7 having a VA registry will help do that. And also,
8 you guys are the providers. If you need to
9 communicate something to the community, right now
10 you can't unless you go to the Marine Corps and say
11 hey, can I do this, please and then --

12 **DR. HASTINGS:** And we have done that and they
13 do mass mailings and they include VA materials.

14 **MR. PARTAIN:** Okay. But you are the providers
15 and you are the people determining benefits. And I
16 think it would also be helpful to you too to have,
17 okay, well on this registry, you know, we have 200
18 self-reporting male breast cancer cases. We have
19 300 adrenal cancer, or we, you know, I'm making the
20 numbers up, but that should be there. Okay? So I
21 mean, am I missing anything, Jerry?

22 **CDR MUTTER:** No. And what we can do is maybe
23 one of our next CAP calls we can invite the VA and
24 have a larger discussion in a CAP call.

25 **MR. HIGHTOWER:** I'd like to say something about

1 the registry.

2 **MR. PARTAIN:** Okay, go ahead.

3 **MR. HIGHTOWER:** My name is Tony Hightower.
4 I've talked to over 200 Camp Lejeune veterans in the
5 last four and a half months. One thing that hasn't
6 been mentioned which I, myself, have been going
7 through for 30 years is bone density. I found over
8 the last four months we have a high percentage of
9 Camp Lejeune veterans lacking bone density that
10 could be related back to the toxic chemicals in the
11 water. But we need a registry. Just like Mike
12 Partain was saying, we need a registry for a number
13 of things: to keep track of how many people, even
14 though it's -- they might be diagnosing their self,
15 but it's something to look into. A registry will
16 give us a feedback of what's going on and where we
17 can go from that.

18 **CDR MUTTER:** Thank you. So --

19 **MR. ENSMINGER:** I have some information that I
20 think would be beneficial to --

21 **DR. BREYSSE:** The registry discussion?

22 **MR. ENSMINGER:** No. To the audience about the
23 SME program in general. I think it would answer a
24 lot of questions and maybe hold back some questions
25 that we may get.

1 **DR. BREYSSE:** Okay. So as long as we're --
2 we'll follow up with the registry in a separate
3 venue. That's what we'd be on, so go ahead.

4 **MR. ENSMINGER:** In April Secretary Shulkin was
5 fired and they replaced him with a temporary
6 Secretary, Mr. Robert Wilkie. And in late April,
7 Mr. Wilkie was getting a briefing by the general
8 counsel to the VA about the lawsuit that we, Mike,
9 Chris and I and The Few, The Proud, The Forgotten
10 website had filed against the VA for documents
11 pertaining to the creation and implementation of the
12 so called subject matter expert program for Camp
13 Lejeune. After Mr. Wilkie had that briefing, he
14 went to Mr. Brooks Tucker who I had worked with for
15 eight years on the Camp Lejeune issue, but he worked
16 for Senator Burr. Brooks Tucker is now the
17 Assistant Secretary of the VA for legislative
18 affairs. And Mr. Wilkie asked Brooks if he thought
19 that I would come up and meet with him about the
20 Camp Lejeune subject matter expert program. He
21 wanted to learn more about it and what the problems
22 were. So Mike and I went up there and we met with
23 Mr. Wilkie in his office on the 1st of May. And we
24 went up there loaded with all the information, all
25 the denials that we had where, you know, there was

1 just -- it was just ludicrous some of the stuff that
2 these people were writing in these denials in their
3 opinions on these veterans. And we went in there
4 and provided him that. I mean, we had actual
5 documentation. And I have all the faith and
6 confidence that Mr. Wilkie is going to do something
7 about this program and I'm hopeful that we see that
8 report soon. So you know, bide your time, you know,
9 keep your powder dry, and there is -- there are
10 things going on that you don't know that are going
11 on. A lot of this stuff I can't share right away,
12 but you haven't been forgotten. And I was just up
13 there last week, up there raising hell about the
14 EPA, so. I stay gone, I'm on the road all the time.
15 But just keep that in your mind that there's going
16 to be some kind of resolution to this SME program
17 soon.

18 **CDR MUTTER:** Thank you. So we are coming up on
19 a break, so let's take a 10-minute break.

20 **MR. ORRIS:** Jamie, really quick, I'm sorry.

21 **CDR MUTTER:** Oh, sorry.

22 **MR. ORRIS:** I have a -- there was a VA
23 question that was a CAP concern that was not, for
24 some reason, listed in there and I want to take a
25 moment to discuss that because I do have some

1 important news to share with the CAP community and
2 everybody. But I want to go back really quick. One
3 of the things that we don't talk about in these
4 meetings very often, we hear this list of 15 covered
5 conditions, presumptive conditions, but we don't
6 talk about one of the conditions that does have
7 sufficient causation which is congenital birth
8 defects. It's hard to talk about dead babies, it's
9 hard to talk about these kind of issues. However,
10 as a person who was born at Camp Lejeune with a
11 congenital heart defect, I take this issue very
12 personally and I am pleased to announce that Laurine
13 and I spent some time talking and she put me in
14 touch with Jonathan Hughes who is the Acting
15 Assistant Director for Policy and Procedures and
16 Compensation Services at the VA. And between him
17 and the good work of Congressman Walter Jones, who
18 is the Congressman for the Third Congressional
19 District in North Carolina which encompasses and
20 includes all of Camp Lejeune military base, he has
21 agreed and presented a bill that will provide
22 benefits and compensation for all of the children
23 who were born with congenital heart defects at the
24 base. And so thanks to all of the hard work of
25 ATSDR, the VA, the CAP, the community, I am pleased

1 to announce that this is another condition that
2 hopefully we will see a resolution to providing
3 assistance to everyone who was born with that
4 defect.

5 **CDR MUTTER:** Great. Thank you for that update.

6 **MS. CARSON:** Jamie, one more thing. It doesn't
7 make the action items, but I wanted to also tell you
8 so. Jonathan Hughes works for my staff. This is
9 Laurine Carson, I forgot to say my name for the
10 first time, but works with my staff and while VA
11 doesn't have a position on any legislation that's
12 presented to Congress, certainly the question came
13 up and I told him, I said, you know, we can read for
14 you, we can share with you how legislation happens
15 and how to make proposals and so I'm glad that
16 helped you. But the other thing is during the last
17 CAP meeting in Pittsburg we had several people stand
18 up and share information and you saw me go down and
19 I took people to go talk to the claims clinic folks.
20 There were like eight particular cases, well there
21 were ten particular cases that came out of that
22 group that I've tracked personally from April till
23 now and I am really pleased to say that eight of
24 those ten we were able to assist. The guy who came
25 in from Seattle, who flew in from Seattle to

1 Pittsburg, we were able to assist and he was able to
2 get a service connection. And two of them were
3 still denied but we were able to provide the reasons
4 for the denial. But that's what it's about for me
5 and that's what it's about for the VA. And I know
6 that we can't make everything happen that you want
7 to happen when the science is not there, when we
8 don't have the basics or when the presumption is not
9 there, but we are trying our best. We serve
10 veterans because we care and we want to do the right
11 thing.

12 **UNIDENTIFIED AUDIENCE MEMBER:** I have a
13 question. Now, do they have -- I live in Ohio. Do
14 they have these meetings in Ohio?

15 **CDR MUTTER:** Well, we have them once a year off
16 site and we kind of rotate around, so we haven't had
17 one in Ohio, but the next one we have is going to be
18 in the Washington, D.C. area next spring. So every
19 year there's a different location that's chosen.

20 So with that, let's go ahead and take a 10-
21 minute break, if you could reconvene at 11:10. The
22 bathrooms, you go to the guard station out to the
23 left.

24 (Break, 11:00 till 11:15 a.m.)

25 **CDR MUTTER:** Okay. So we're going to go ahead

1 and get started. I'll start with the action items
2 that we have for the Navy. So the first action
3 item, a CAP member would like to know how the
4 Department of the Navy feels that their exposed
5 family members and children are being treated,
6 whether you agree or disagree that they are being
7 treated well right now with current legislation.

8 **MS. KERR:** The Department of the Navy,
9 including the Marine Corps, fully supports
10 initiatives that promote the wellbeing of our Marine
11 family. This includes Department of Veterans
12 Affairs efforts to provide healthcare and disability
13 benefits to past residents and workers at Camp
14 Lejeune. We're not in the position to comment on
15 the effectiveness of the implementation or
16 legislation or VA regulations.

17 **MR. ORRIS:** This is Chris Orris, I'm a CAP
18 member. I believe I'm the one that actually asked
19 this question. So let me get this right, what the
20 Marine Corps is saying in your statement is that
21 you're not in any position to make an opinion on
22 whether your dependents, whether the family members
23 or anybody at the base during the exposure period,
24 are being well taken care of. Is that really what
25 I'm getting from the Department of the Navy is that

1 you don't have a position on how your dependents are
2 being treated due to their exposure at the base?
3 I'd like clarification on that.

4 **MS. KERR:** I'll read it again. We are not in a
5 position to comment on the effectiveness of the
6 implementation of legislation or VA regulations.

7 **MR. ORRIS:** Do you feel that when people sign
8 up for the Marine Corps that they would feel
9 comfortable with a statement like that going forward
10 today?

11 **MS. KERR:** I'll be happy, Mr. Orris, to take
12 that back for consideration.

13 **MR. ORRIS:** I think the entire country would
14 like an answer to that.

15 **CDR MUTTER:** Okay. So moving on to the next
16 action item. A CAP member requested that the Camp
17 Lejeune website be updated to include other
18 contamination, i.e., PCE, TCE, vinyl chloride and
19 alternate pathways.

20 **MS. KERR:** The Camp Lejeune website already
21 discusses TCE, PCE, benzene, toluene, vinyl chloride
22 and several other compounds, and this can be found
23 at the question and answer tab. We've got the
24 website, it's the first one up there on the slide if
25 you want to take a picture of that for ease. I'll

1 read it out to the folks on the phone. It's
2 [https://clnr.hqi.usmc.mil/clwater/pages/QuestionAnsw](https://clnr.hqi.usmc.mil/clwater/pages/QuestionAnswer.aspx#chemicals)
3 [er.aspx#chemicals](https://clnr.hqi.usmc.mil/clwater/pages/QuestionAnswer.aspx#chemicals). In addition, a link to the Camp
4 Lejeune Restoration Advisory Board website, that's
5 the second website up on the slide. That website is
6 [https://www.lejeune.marines.mill/offices-](https://www.lejeune.marines.mill/offices-staff/environmental-management/restoration-advisory-4)
7 [staff/environmental-management/restoration-advisory-](https://www.lejeune.marines.mill/offices-staff/environmental-management/restoration-advisory-4)
8 [4](https://www.lejeune.marines.mill/offices-staff/environmental-management/restoration-advisory-4). This has been added to the Camp Lejeune website
9 under the resources tab for ease of reference.

10 **MR. ORRIS:** Wouldn't it be nice if the
11 Department of the Navy and the Marine Corps spent as
12 much time taking care of their dependents and family
13 members who were exposed during the contamination
14 period as you do putting up links to advisory panel
15 boards and other information that tries to poo poo
16 and minimize what the Marine Corps is responsible
17 for during the contamination period? Perhaps, and
18 if I can make this a CAP suggestion that maybe you
19 take that response to the previous question and put
20 that up there as the very first paragraph on that
21 website that you provide.

22 **CDR MUTTER:** Mike, do you have a comment,
23 question?

24 **MR. PARTAIN:** Yes. I'm sorry, I didn't
25 realize. Going back to Laurine and, I'm sorry,

1 you're not going to be put on the spot. On the
2 approval deny rates, and I apologize because I
3 wasn't thinking about these other things, but during
4 the break some people brought some good points,
5 outside the 15 conditions, prostate cancer, auto-
6 immune issues, I would like to have those included
7 in the approval/denial rates for claims brought up
8 on those issues.

9 **MS. CARSON:** For the -- you want those approval
10 denial rates as they relate to CLC at Camp Lejeune?

11 **MR. PARTAIN:** Yes.

12 **MS. CARSON:** Okay. So I don't know that those
13 are tracked specifically in that manner, but I will
14 try. I will try to get that. But I think -- I will
15 talk to you a little bit off line --

16 **MR. PARTAIN:** Okay.

17 **MS. CARSON:** -- about what kind of evidence and
18 how the data warehouse actually captures the
19 evidence. It has to be tracked a certain way and
20 labeled as a Camp Lejeune related issue for a claim
21 and then I'll be able to maybe figure out exactly
22 what you want.

23 **MR. PARTAIN:** Yeah. They're being filed as
24 Camp Lejeune claims, but they are conditions that
25 are outside the 15 or the --

1 **MS. CARSON:** Right. So then they were being
2 denied as --

3 **MR. PARTAIN:** Prostate cancer --

4 **MS. CARSON:** Right. So let me -- I will check
5 and I'll talk to the data folks and see how to get
6 you that information and make sure that it's
7 captured and it will be for the period from 2012 to
8 present?

9 **MR. PARTAIN:** Well, from -- preferably from the
10 get go.

11 **MS. CARSON:** It will be -- I will tell you that
12 VA was tracking -- tried tracking cases in 2010.

13 **MR. PARTAIN:** Okay, 2010 then, please.

14 **MS. CARSON:** So I'll give you what we had
15 tracking and labeled. Yes.

16 **MR. PARTAIN:** Okay. And one thing, Dr.
17 Breysse, on the call that we're talking about doing
18 for the registry issue, I am starting a new job
19 Monday, so I'm probably not going to be available
20 for a conference call during the day for a while.

21 **DR. BREYSSE:** So I think we're trying to take
22 advantage of one of our monthly CAP calls, so if you
23 have those on your calendar, we should be able to
24 kill two birds with one stone.

25 **MR. PARTAIN:** Yeah, but like I said, with the

1 monthly phone calls I'm going to probably not be
2 available for a while until, you know, I don't want
3 to ask my new employer to take an hour off to, you
4 know, be on a call.

5 **CDR MUTTER:** You can steal some of your lunch
6 time for it.

7 **MR. PARTAIN:** I'll work on that.

8 **CDR MUTTER:** We'll try to work with you. Okay.
9 So the next --

10 **MR. ENSMINGER:** What lunch hour?

11 **CDR MUTTER:** You don't get lunch?

12 **MR. PARTAIN:** Don't start Jerry on that,
13 please.

14 **MR. ENSMINGER:** You don't need one.

15 **CDR MUTTER:** Okay. All right. I didn't know
16 that was a setup. Okay. So the next action item.
17 A CAP member asked if the eight female Marines who
18 were pregnant at HP-57 barracks were notified to
19 make sure there were not vapor intrusion exposure
20 problems.

21 **MS. KERR:** The eight female Marines were not
22 directly contacted. The Marine Corps will address
23 any recommendation made by ATSDR's vapor intrusion
24 public health assessment for HP-57 and other
25 buildings aboard the installation at that time.

1 **MR. ORRIS:** So let me get this straight and
2 then let's walk through this a little bit. So we
3 know that --

4 **MR. ASHEY:** Y'all share the microphone.

5 **MR. ORRIS:** Sorry about that.

6 **MR. ASHEY:** Thank you.

7 **MR. ORRIS:** So we know that HP-57 has a
8 barracks and in that barracks you quarter female
9 Marines of childbearing age. And that barracks has
10 had recently TCE vapors in the air and we know that
11 Region 9 EPA says that a woman of childbearing age
12 is particularly susceptible to TCE vapor intrusion
13 and the risk to her undeveloped fetus is of such a
14 concern. But the Marine Corps doesn't see a problem
15 with exposing their female Marines of childbearing
16 age to a chemical that could cause a cardiac defect
17 in the unborn child and that you're just going to
18 wait for some other agency before you do something
19 about that? We're not talking about past
20 contaminations right now. We have an entire
21 spreadsheet we're about ready to go through that
22 shows all of the buildings on this base that are
23 under active vapor intrusion remediation. How many
24 more children need to die or need to be born with a
25 congenital heart defect before you get off your ass

1 and start doing something to protect the very people
2 that you're supposed to be doing as the Marine
3 Corps? I do not believe that it is in the Marine
4 Corps' charter to poison unborn children. You know
5 about it, you have known about it and you have not
6 and still do not do anything to protect these
7 children. This is unacceptable. It cannot and
8 should not be tolerated in this country. Do
9 something about it now. Don't let any more children
10 be harmed because of your unwillingness to do
11 something to fix this problem.

12 **DR. BREYSSE:** Thank you, Chris. As you know,
13 Patsy is here more as a liaison with the Marine
14 Corps and she'll take that back in the report --

15 **MS. KERR:** For consideration, yes.

16 **DR. BREYSSE:** Yeah.

17 **CDR MUTTER:** Okay. So the next action --

18 **MR. ORRIS:** One more thing. It ought to be a
19 crime to willingly and knowingly harm unborn
20 children because of your willingness to get outside
21 of the bureaucratic process.

22 **CDR MUTTER:** Okay. So the next action item. A
23 CAP member would like to know if there is an air
24 stripping system installed at the water treatment
25 plants.

1 **MS. KERR:** None of the Camp Lejeune drinking
2 water plants use an air stripping system comparable
3 to ground water remediation systems which are used
4 to remove volatile chemicals like benzene, PCE and
5 TCE because it is not necessary. The New River Air
6 Station water treatment plant utilizes slight tray
7 aeration for removal of naturally occurring iron
8 from drinking water prior to distribution. The
9 drinking water systems at Camp Lejeune are tested
10 regularly for contaminants, including volatile
11 chemicals. For Camp Lejeune's annual water quality
12 reports, please see -- I've got the website up
13 there. It's
14 [http://www.lejeune.marines.mill/officesstaff/environ](http://www.lejeune.marines.mill/officesstaff/environmentalmanagement/annualreports.aspx)
15 [mentalmanagement/annualreports.aspx](http://www.lejeune.marines.mill/officesstaff/environmentalmanagement/annualreports.aspx). Additional
16 information on the four drinking water plants aboard
17 Marine Corps base Camp Lejeune and Marine Corps air
18 station, New River, can be found on the state's
19 drinking water watch website. And this one is at
20 the bottom also, it's
21 <https://www.pwss.enr.state.nc.us/NCDWW2/>. Search
22 for Lejeune in the water system name, click on the
23 fact sheet next to each system to get the details
24 about the type of water treatment utilized at each
25 facility. Please note that finished drinking water

1 from the Rifle Range and Devil Dog Verona Loop is
2 purchased from the Onslow County Water and Sewer
3 Authority as noted on their respective fact sheets.
4 You will need to contact the Onslow Water and Sewer
5 Authority to determine the drinking water treatment
6 process for those systems.

7 **CDR MUTTER:** All right.

8 **MR. ASHEY:** Jamie, do you have another --

9 **CDR MUTTER:** Action item?

10 **MR. ASHEY:** Yeah, do you have another action
11 item that's associated with this one, or was that
12 the only one you wrote down from the last meeting?

13 **CDR MUTTER:** That's the only one.

14 **MR. ASHEY:** Okay. I brought this issue up.
15 The underlying question was, what is the Marine
16 Corps and Department of the Navy doing to ensure
17 that the next generation of Marines doesn't suffer
18 the same debacle that all of us have on -- right
19 now. And just for the benefit of the audience,
20 remediation systems are designed to remove
21 contamination from ground water and soil. When they
22 reset the wells in different locations and reset
23 them deeper or maybe even slightly more on the
24 shallow side away from the underground contamination
25 plumes, all they did was they created a hydraulic

1 gradient that will eventually pull those plumes
2 toward those new wells. And the reason is, is
3 because the soil at Camp Lejeune is highly
4 permeable. In other words, ground water and
5 anything else that's in the ground like a plume will
6 move rapidly through that soil. Now, if it was clay
7 it wouldn't, but the soil at Camp Lejeune is very
8 sandy which is what contributed to the problem to
9 begin with. So my question was, again, what are
10 they doing to ensure that when those wells pull
11 those plumes and start contaminating that water,
12 what processes do they have in place to ensure that
13 contaminated water is not distributed into the base
14 without their knowledge?

15 Well, first answer was that they do biannual
16 testing in accordance with EPA Region Four
17 requirements. Region Four never contemplated a
18 debacle of the magnitude of Camp Lejeune with
19 respect to their biannual testing. Testing should
20 be done every month on those well heads because as
21 an example, if they test in January and in February
22 a plume hits that well, they're not going to know
23 for six months that there's a problem. And so one,
24 those well heads should be tested every month. It's
25 not analytical testing for drawing contaminants,

1 it's not all that expensive. Surely the Marine
2 Corps and the Department of Navy can absorb that
3 cost.

4 Two, an air stripping system on the inlet side
5 of a water treatment facility would surely handle
6 most of the contaminants that are detected in the
7 water supply. All it does is it pushes high
8 pressure air up through a stack and vaporizes all of
9 the petroleum contaminants or the majority of the
10 petroleum contaminants that are in the water.
11 Again, what is the Department of Navy doing to
12 ensure that the next generation of service personnel
13 living at that base and the dependents don't suffer
14 the debacle we suffered? And telling me that an air
15 stripper is not necessary is not the answer.
16 Telling me that we test in accordance with EPA
17 standards is not the answer. You need to go above
18 and beyond that.

19 **CDR MUTTER:** Thank you. So we have one more
20 action item to go through. The CAP submitted a
21 request asking if a Navy and Marine Corps official
22 can return to the Camp Lejeune panel during the CAP
23 meetings.

24 **MS. KERR:** A Navy and Marine Corps public
25 health center representative currently attends the

1 CAP on behalf of the Department of the Navy. All
2 members of the public interested in Camp Lejeune
3 environmental restoration activities are invited to
4 attend Camp Lejeune's restoration advisory board
5 meetings which are held quarterly in Jacksonville,
6 North Carolina. For more information about the Camp
7 Lejeune restoration advisory board, please see the
8 bottom website for those schedules.

9 **MR. ASHEY:** I shouldn't have to travel to Camp
10 Lejeune to get an answer to these questions. And
11 again, I ask and request that representatives from
12 your primary contractor, CH2M Hill, attend these
13 meetings so that we can ask them questions directly
14 as they're one of your primary remediation
15 contractors.

16 **MR. ORRIS:** And taking Mike's question just one
17 step further, doing periodic testing for these
18 contaminants can save a life, can save an innocent
19 person's life. We go beyond in almost every other
20 segment of our society to protect, preserve, and
21 defend the people of this country. Does the Marine
22 Corps' disregard for the sanctity of human life for
23 the very people that are serving in that institution
24 right now justify what you're doing? This -- we
25 were talking about a historical problem. I don't

1 believe that this is a historical problem. We know
2 that there are other issues and concerns at this
3 base. Be proactive for once. Do what is necessary
4 so that like Mike said, that next generation of
5 service members and their families aren't sitting
6 here 30 or 40 years from now lamenting what could've
7 been done differently. This, this...

8 **PUBLIC HEALTH ASSESSMENT UPDATES**

9 **SOIL VAPOR INTRUSION**

10 **DR. BREYSSE:** Okay. So I think it's not an
11 inapropos time to move on to the public health
12 assessment discussion, soil vapor intrusion, since
13 we were just talking about some of the ongoing
14 contamination. So going to Rick.

15 **MR. GILLIG:** Good morning, everyone. My name
16 is Rick Gillig with ATSDR. I want to introduce Jack
17 Hanley before I get started. Jack will be taking
18 over as the management lead on this project. I will
19 be retiring soon.

20 **MR. ENSMINGER:** No, no you don't.

21 He's going to pull a John Wayne, ride off into
22 the sunset.

23 **DR. BREYSSE:** Not without Jerry's permission.

24 **MR. GILLIG:** I guess I need to work on getting
25 that. So I want to provide some general updates

1 since our last meeting. Last time we were together
2 we talked about the work plan for the soil vapor
3 intrusion project. And I believe when we were
4 together in Pittsburgh that was out for a peer
5 review. We put that out in peer review in January.
6 We have received the peer review comments. There
7 were five peer reviewers. These are experts in soil
8 vapor intrusion. We addressed their comments and we
9 released the final plan. I believe Jamie sent that
10 out last week so you should all have a copy of that.

11 In previous phone calls we talked about the
12 computer application we were developing for
13 analyzing all the environmental data we've collected
14 over the last six years. We have completed that
15 initial computer application. We've done some
16 initial analysis, we need to do additional
17 programming of that application. We're conducting
18 sensitivity analysis so that when we actually run
19 the data we know what that program's most sensitive
20 to and we can make it appropriate for Camp Lejeune.
21 In the last couple of phone calls we've had you all
22 have been asking for some maps. I know, Mike,
23 you've been pressing the issue. I'm hesitant to
24 show any maps of our current data analysis because
25 we're still fine tuning that. But I thought today

1 we can show some maps of some of the buildings that
2 have vapor mitigation systems at Camp Lejeune.
3 There are 21 of those buildings that have active
4 systems. There are three additional buildings that
5 they installed mitigation systems as a precaution.

6 **MR. ENSMINGER:** How many did they demolish?

7 **MR. GILLIG:** I can't answer that question at
8 this time. That'll be part of our analysis, Jerry.
9 We are looking at buildings that have been taken
10 down.

11 **UNIDENTIFIED AUDIENCE MEMBER:** Excuse me, are
12 any of those buildings at the courthouse base?

13 **MR. ENSMINGER:** No, sir.

14 **MR. GILLIG:** Thank you, Jerry. So we have a
15 series of six maps. This first map shows the
16 general areas and points. It's kind of hard to see,
17 but again, we have five more detailed maps that
18 we'll go through. And all of you have copies of
19 these maps in your packets.

20 **MR. ENSMINGER:** Yeah. I mean, that picture is
21 from Google Earth, for Christ sake. I mean, you
22 can't see anything.

23 **MR. GILLIG:** Can we expand the picture at all?

24 **MR. ASHEY:** Rick, you're going to zoom in,
25 right?

1 **MR. GILLIG:** Right. We will zoom in on the
2 next series of maps, the five maps that we show
3 after this one. So if you could pull up the first
4 map, 31. And can we zoom in on that, Jamie? This
5 map shows two buildings. There's one just below the
6 title box, that is building G484. This building has
7 a passive vapor mitigation system that was installed
8 in 2013 as a precautionary measure. The map also
9 shows building G773. This is also a passive vapor
10 mitigation system that was installed in 2012.

11 **DR. BREYSSE:** They're outlined in purple, if
12 you're looking for them.

13 **MR. ORRIS:** So Rick, just as a quick question,
14 can you identify whether these buildings are
15 industrial, residential or mixed use, as well, when
16 you're going through this; do you have that data
17 right now?

18 **MR. GILLIG:** So this building up top, G484 is a
19 work place, so that's one of the categories we have
20 on our data base.

21 **MR. ORRIS:** Okay. Work place. Okay. Does
22 that mean office environment or is that more of an
23 industrial, when you're describing a work place can
24 you kind of give the definition for that?

25 **MR. GILLIG:** Chris, I'm not sure we break it

1 down to that level of detail. But we'll have
2 information on more specifically how the building
3 was used, but I don't have that with me.

4 **MR. ORRIS:** Okay. I mean, from a scientific
5 standpoint though you base your levels of exposure
6 off of industrial, residential or the mixed use,
7 right?

8 **MR. GILLIG:** Well, some of the institutional
9 uses such as schools or healthcare facilities,
10 family sensitive population. The work places, our
11 assumptions on exposure duration are pretty much the
12 same as an office or warehouse.

13 **MR. ORRIS:** Like an industrial.

14 **MR. GILLIG:** Right.

15 **MR. ENSMINGER:** That's over at Camp Geiger?

16 **MR. GILLIG:** We are doing all of Camp Lejeune.

17 **MR. ENSMINGER:** Are you?

18 **MR. GILLIG:** Yes.

19 **MR. ENSMINGER:** Okay. No wonder I didn't
20 recognize it at first. Okay. Now, I got my
21 bearing. Okay.

22 **MR. ASHEY:** Rick, just as a point of
23 clarification, these maps and the purple -- These
24 maps and the purple buildings, those are the ones --
25 those are the 21 buildings that were identified in

1 the CH2M Hill report, right?

2 **MR. GILLIG:** No. Some of these buildings were
3 identified much earlier than the CH2M Hill review
4 and identification.

5 **MR. ASHEY:** So there's more than 21?

6 **MR. GILLIG:** Well, there's three additional
7 buildings where systems were put in. I don't
8 believe CH -- I don't remember how many CH2M Hill
9 identified, but as I go through each map I'll
10 indicate which ones CH2M identified in their study.

11 **MR. ASHEY:** Well, I'm just -- I'm reading from
12 your latest draft of your vapor intrusion work plan
13 and there were 21 buildings that CH2M Hill
14 identified initially based on the sampling that they
15 took from the buildings from both air sparge systems
16 and biosparge systems. Remember we had this debate
17 and so, again, just to reiterate for the audience,
18 my concern here is that when they diluted -- when
19 they combined the data for vapor intrusion
20 detections inside buildings that were approximated
21 to air sparge systems and the same for biosparge
22 systems, they diluted the data and the result was 21
23 buildings when actually, if they hadn't diluted the
24 data and kept separate subsets there might have been
25 more than 21 buildings. And again, going back to

1 for the -- for the audience, an air sparge system
2 pumps high pressure air into the ground. What it
3 does is it then -- it helps get rid of the
4 contamination by turning the liquid fuel into its
5 gaseous state. And so you get a lot of pressure in
6 the ground that forces that contamination through
7 volatilization up out of the ground and the result
8 is vapor intrusion inside buildings.

9 Biosparge systems don't work that way.

10 Biosparge systems inject low level pure oxygen deep
11 into the ground and feed the bugs because bugs like
12 petroleum and they eat the petroleum. And so there
13 is no pressure that causes the vaporized petroleum
14 to come out of the ground. So what CH2M Hill did --
15 CH2M Hill did as one of the primary contractors for
16 this EI vapor intrusion study was they combined the
17 data from vapor -- from biosparge systems in
18 proximity to buildings with air sparge systems in
19 proximity to buildings and the result was they
20 identified 21 buildings. That's like taking zero
21 data and data from that say labeled as a hundred,
22 combining them and when you do that calculation you
23 end up with 50, not a hundred. And so they diluted
24 the data, in my opinion. So and that's why getting
25 back to this 21, in your report, in our previous

1 teleconferences I thought y'all said that you would
2 put an asterisk of notation at the bottom of the
3 page here concerning the difference between air
4 sparge and biosparge and how they diluted the data
5 and there's no statement in this work plan to that
6 effect.

7 **MR. GILLIG:** And we can certainly add that to
8 our health assessment.

9 **MR. ASHEY:** All right. Well, I'd like to see
10 it in writing at some point, okay? 'Cause I thought
11 we had an agreement on that and I couldn't find it
12 in here.

13 **MR. GILLIG:** We'll get that to you, Mike. So
14 if we could go to the next map.

15 **MR. ORRIS:** I'm sorry, which classification is
16 G773? To make it easier, can you just forward that
17 to me later?

18 **MR. GILLIG:** Well G773 is a work place.

19 **MR. ORRIS:** So both of these are work places?

20 **MR. GILLIG:** Both of these are work places.

21 **MR. ORRIS:** Okay. Rick, if you would just
22 identify to make it easier for you, the ones that
23 would be categorized as a work place for us. Thank
24 you.

25 **MR. GILLIG:** And what I'll do, as we go through

1 these maps is I'll tell you what the building use
2 is.

3 **MR. ORRIS:** Thank you.

4 **MR. GILLIG:** So Jamie, could we expand this one
5 as well?

6 **CDR MUTTER:** Yes.

7 **MR. GILLIG:** The buildings that are right in
8 the center of the map right there, the purple
9 building. So we had two buildings here, LCH4007,
10 that is a school and that school was built but never
11 used. And this school was identified through CH2M
12 in their study in 2013.

13 **MR. ENSMINGER:** That's Midway Park.

14 **MR. GILLIG:** The other building here is
15 LCH4014. That is also a school and that mitigation
16 system was installed in 2012 as a precautionary
17 measure.

18 **MR. ORRIS:** And when you say a school, are we
19 talking about an elementary school, a middle school,
20 a military school? And the reason I'm asking that
21 is because if this is a high school and middle
22 school, you know the ramifications of that.

23 **MR. GILLIG:** And Jerry may know better, given
24 the location I assume this --

25 **MR. ENSMINGER:** Yeah. There is a dependent

1 housing area, so it's either a daycare center or a
2 elementary school. I don't know...

3 **MR. PARTAIN:** There's one that they built at
4 Midway Park that's closed, they never opened it.

5 **MR. ENSMINGER:** Yeah.

6 **MR. ORRIS:** Right. And that's --

7 **MR. ENSMINGER:** That's the other one.

8 **MR. ORRIS:** That's the one on the map.

9 **MR. ENSMINGER:** That's a massive heating oil.

10 **MR. ORRIS:** So can I ask you a quick question
11 about this? With this mitigation system what
12 happens if it fails or if it stops working for any
13 reason, what is the backup plan? How long does it
14 take to know that the mitigation system is no longer
15 working?

16 **MR. GILLIG:** Chris, I cannot answer that
17 question. That question is more appropriate for the
18 Department of Navy.

19 **MR. ENSMINGER:** Start choking.

20 **MR. ORRIS:** Can we get that information from
21 Department of the Navy for the next CAP meeting?

22 **MS. KERR:** (inaudible)

23 **MR. ASHEY:** Christopher, are you talking about
24 the EI remediation systems?

25 **MR. ORRIS:** Yes.

1 **MR. ASHEY:** That are in the village, right?

2 **MR. ORRIS:** Yes.

3 **MR. ASHEY:** Just for clarification.

4 **MR. ORRIS:** Yes. Because I'm hopeful that the
5 Marine Corps has a backup plan for a vapor intrusion
6 system for a school in case it fails because, you
7 know, we certainly wouldn't want to expose children
8 to harmful vapors.

9 **MR. ENSMINGER:** Yeah. But that school was
10 never opened.

11 **MR. ORRIS:** No, the other one is. Those are
12 both schools. The one at the top was never opened.
13 Rick just said the bottom one is an active school
14 right now. Is that correct?

15 **MR. GILLIG:** That's correct.

16 **MR. ORRIS:** It seems a little bit like Russian
17 roulette to me.

18 **MR. GILLIG:** Jamie, if we could move on to the
19 next map. And Mike, do you have a question, your
20 tent is up.

21 **MR. ENSMINGER:** I have no idea where this is.
22 Where is this at?

23 **MR. GILLIG:** Jerry, I'd assume that you could
24 let us know where this is located. I don't know
25 Camp Lejeune well enough.

1 **DR. BREYSSE:** Back to the first page and look
2 for an area in grey --

3 **CDR MUTTER:** Holcomb Boulevard on the right.

4 **MR. GILLIG:** So these buildings are all in the
5 center of the map.

6 **MR. ENSMINGER:** Oh, this is that new
7 (inaudible).

8 **MR. GILLIG:** I believe we went by this during
9 the base tour, but I couldn't tell you the exact
10 location.

11 **MR. ENSMINGER:** This is that new entrance that
12 they built on the base, but I don't recognize these
13 at all, do you?

14 **UNIDENTIFIED:** These buildings are new.

15 **MR. ENSMINGER:** Hell, I retired in '94.

16 **MR. GILLIG:** I bet you know the base very well,
17 Jerry.

18 **MR. ENSMINGER:** Yeah. But I try to stay away
19 from there as much as I can.

20 **MR. GILLIG:** So these buildings are WC500 and
21 WC504, WC510. These are all listed as work places
22 and they have active vapor mitigation systems that
23 were installed in 2016 as a precautionary measure.
24 So you're right, Jerry, these are very new
25 buildings.

1 **MR. ENSMINGER:** Yeah.

2 **MR. PARTAIN:** Do we know what plume they reside
3 over?

4 **MR. ENSMINGER:** What were the building uses
5 again?

6 **MR. GILLIG:** The building numbers are WC500 --

7 **MR. ENSMINGER:** Yeah, I see those. What's
8 their uses?

9 **MR. GILLIG:** They're called work places, but
10 Mike, I don't know what plumes are underneath these
11 buildings.

12 **MR. PARTAIN:** What about IR site, do you know
13 where their reference or...

14 **MR. GILLIG:** I don't have that information
15 either. Our system won't include information on the
16 plumes that underlie buildings.

17 **MR. ORRIS:** Well, can we get the Department of
18 the Navy to clarify what this is that we're looking
19 at and what's there?

20 **MR. ASHEY:** Well, she's, I mean, there's no way
21 she's going to know that now but if --

22 **MR. ORRIS:** Well, I would --

23 **MR. ASHEY:** -- it would be really helpful if,
24 and Rick, I know this is not something you can do,
25 but in your GIS layering it would be really helpful

1 if you could get the plume, the outer boundaries of
2 the plumes could be superimposed on these maps so we
3 can see their proximity to buildings. And actually,
4 Jack, that's going to be your gig. Our final report
5 will have that level of detail.

6 **DR. BREYSSE:** If I could just be the time
7 monitor here, we're behind and we have to respect
8 everybody's schedule, so if we can pick things up.

9 **MR. GILLIG:** Okay. For the next map, area
10 four. I'm going to try to do these fairly quickly.
11 Area four includes buildings 3, which is a work
12 place, building 3B which is used for storage,
13 building 37 which is a work place and building 43
14 which is also a work place. Now --

15 **MR. ORRIS:** Is there -- go ahead, sir.

16 **MR. GILLIG:** These have active vapor mitigation
17 systems that were installed in 2012 as a result of
18 CH2M studies.

19 **MR. ENSMINGER:** This is the central area.

20 **MR. GILLIG:** We also have HP57, building HP57
21 which is a residence. That is the barracks and
22 they've installed a sewer venting system so it's not
23 a traditional vapor mitigation system. Chris, you
24 had a question?

25 **MR. ORRIS:** Are you aware, are there any cracks

1 in the foundation in that building?

2 **MR. GILLIG:** That would be a question for the
3 Department of Navy. Now this last map, area 5.
4 Area 5 is Hadnot Point, is it not Jerry?

5 **MR. ENSMINGER:** Yeah.

6 **MR. GILLIG:** So we have a number of buildings
7 here. Building --

8 **MR. ENSMINGER:** Well, it's mainly the
9 industrial area.

10 **MR. GILLIG:** -- Building 902 which is a work
11 place. Building 1005, a workplace. 1115, which is
12 a storage building. These all have active vapor
13 mitigation systems that were installed in 2012 and
14 that was the result of CH2M studies. And Building
15 1101 which is a warehouse, it has an active system
16 installed in 2000 and it was upgraded in 2006. We
17 have buildings 1200. 1200 is storage. 1201 is a
18 warehouse, 1202 a workplace. 1301 is a warehouse
19 and 1108 is storage. They have active vapor
20 mitigation systems that were installed in 2006. We
21 also have building 1068 which is a residence and it
22 has an active mitigation system installed in 2011.

23 **MR. ENSMINGER:** Building what? Which is the
24 residence, Rick?

25 **MR. GILLIG:** Building 1068.

1 **DR. HASTINGS:** Smack dab in the middle of the
2 sheet.

3 **MR. GILLIG:** Yes. It's right in the middle of
4 the map. It's a fairly small building.

5 **MR. ENSMINGER:** What kind of damn residence is
6 that?

7 **MR. GILLIG:** Well, that's what it is, our data
8 base --

9 **MR. ENSMINGER:** It looks like a cabin.

10 **MR. GILLIG:** -- has it categorized as that.

11 **MR. PARTAIN:** Is that a gas chamber?

12 **UNIDENTIFIED AUDIENCE MEMBER:** Did y'all check
13 any swimming pools?

14 **MR. GILLIG:** I'm sorry?

15 **UNIDENTIFIED AUDIENCE MEMBER:** Did y'all check
16 any swimming pools?

17 **MR. GILLIG:** We didn't.

18 **MR. ENSMINGER:** No. They're doing vapor.

19 **MR. GILLIG:** Yeah, we're just doing vapor
20 intrusion right now. So as far as the next steps of
21 this project, we need to complete the sensitivity
22 analysis so we can fine tune our prioritization
23 scheme. We'll finalize that, we'll complete the
24 area investigation, estimate historical exposures,
25 put together sufficient data and evaluate the public

1 health implications of those exposures and we'll
2 also look at the effectiveness of the vapor
3 mitigation systems. So a lot of work to do, but
4 we're making good progress.

5 **MR. ENSMINGER:** That's the old rail head where
6 that 1068 is on that map and that empty lot to the
7 right of 1068 is the old fuel farm.

8 **MR. GILLIG:** I don't know why it's labeled a
9 residence.

10 **MR. ENSMINGER:** Well that might be a
11 watchman's.

12 **MR. GILLIG:** I would think that's probably what
13 it is, but in our data base it comes up as a
14 residence.

15 **DR. BREYSSE:** Well, we'll have more detailed
16 information.

17 **MR. ENSMINGER:** There ain't no residence there.

18 **UNIDENTIFIED AUDIENCE MEMBER:** How do you
19 determine which buildings to do, I mean, I know you
20 do test on them but is the building next to that one
21 not contaminated; is that what they're saying?

22 **MR. GILLIG:** Well these are all buildings that
23 have vapor mitigation systems and the Navy has had a
24 number of different studies throughout the base and
25 based on the results of those studies they decide

1 which buildings to put mitigation systems in.

2 **MR. PARTAIN:** You've got to understand that,
3 you know, with the -- we're kind of jumping ahead
4 over a lot that's happened, but the studies -- the
5 Navy started studies as far as identifying the
6 contaminants, plumes and locations of dump sites
7 back in the early 1980s. What we're discussing now
8 is vapor intrusion which is another pathway for
9 exposure and ATSDR did a -- or completed a water
10 model and one of the chapters of the water model
11 deals with the fate and transport of the
12 contaminants as far as where they were dumped, where
13 the plumes are. It's available on line at the ATSDR
14 Camp Lejeune site and lists out all the
15 contamination plumes located on the base and if you
16 want to know where something was or what's
17 underneath a building in a particular area, those
18 maps will show you. But as far as, I mean, you're
19 dealing with a large area so, you know, it's hard to
20 go through. But like for example, this last map
21 that they were looking at, you know, the Hadnot
22 Point fuel farm, an industrial area which, you know,
23 when I mentioned the 1.5 million gallons of fuel,
24 that was located -- can you put that map back up?

25 **CDR MUTTER:** Which one?

1 **MR. PARTAIN:** The Hadnot Point fuel farm, the
2 last slide. I'm sorry, Jamie, I don't mean to make
3 you walk.

4 **CDR MUTTER:** That's okay.

5 **MR. ENSMINGER:** Back up.

6 **MR. PARTAIN:** Too far.

7 **CDR MUTTER:** I thought you wanted the last one.

8 **MR. PARTAIN:** Yeah, the very last one.

9 **CDR MUTTER:** Yeah, I'm not --

10 **MR. PARTAIN:** There you go. All right, this
11 area in the middle of the RNA map, that's where the
12 big 1.5 million gallon fuel, I mean, fuel spill
13 underneath the aquifer. I mean, look to the right
14 in this area and stretched over to Holcomb Boulevard
15 where well 602 was. Over on the right side here by
16 Sneads Ferry Road there's -- is that the barracks,
17 Jerry?

18 **MR. ENSMINGER:** No.

19 **MR. PARTAIN:** Where were the barracks, the
20 solvent?

21 **MR. ENSMINGER:** Huh?

22 **MR. PARTAIN:** The solvent plume.

23 **MR. ENSMINGER:** That was the solvent plume;
24 they're all shops and offices and warehouses.

25 **MR. PARTAIN:** Over here on the right there's a

1 solvent plume of trichloroethylene and that's in
2 this area here so and then there was --

3 **MR. ENSMINGER:** 1005 is a barracks.

4 **MR. PARTAIN:** Where?

5 **MR. ENSMINGER:** 1005.

6 **UNIDENTIFIED:** No, that's a maintenance
7 (inaudible).

8 **MR. PARTAIN:** And then the 1200 series building
9 over here, there was another trichloroethylene plume
10 from the tanks that were leaking, the storage tanks.
11 So you've got different contaminants depending on
12 where you are on the base and what it's around. But
13 that's what they're looking at. And of course, like
14 with the 1100 buildings, they found fuel underneath
15 those buildings and they actually shut down and I
16 forgot the building numbers but in the '90s, late
17 '90s, 2000s they evacuated people out of those
18 buildings because they found fuel and a flash --
19 fuel vapors at the flash point.

20 Does that answer, I forgot who asked the
21 question.

22 **UNIDENTIFIED AUDIENCE MEMBER:** What about
23 French Creek, is there anything found over in French
24 Creek?

25 **MR. PARTAIN:** Well French Creek, they've got

1 trichloroethylene, I believe. I don't know if --
2 there were no wells that supplied water to the base
3 from French Creek area, but there was
4 trichloroethylene down in it. So the courthouse may
5 also had a trichloroethylene site outside the
6 barracks.

7 **MR. ENSMINGER:** That whole area right there was
8 the old fuel farm. That's the new fuel farm, hell
9 that's leaking already. This is the rail head here.
10 And the new commissary PX complex is right across
11 the road back there. That one that was in question
12 at the meeting (inaudible) was back up this way.
13 How many remember Parachute Power Road? Had the
14 dirt and they had the kennels out there, one of them
15 was a dog pound for the MP area. Well they had
16 another one further back where they were throwing
17 these (inaudible) studies on people.

18 **DR. BREYSSE:** Jerry, we need to move on, or is
19 there something else you want to point out there?
20 All right, thank you Rick, is that it for the vapor
21 intrusion?

22 **MR. GILLIG:** That's it.

23 **MR. ORRIS:** Dr. Breysse, I'm sorry, just one
24 really quick question and this is for the Department
25 of the Navy representative. So building 4014 is an

1 active daycare, it's also a school for exceptional
2 children on the base, it's also a movie theater and
3 a drycleaner. And I know that you started doing
4 vapor intrusion in this building in 2012. I'd like
5 to know why you started doing the vapor intrusion in
6 the building in 2012 and I'd like to know if there
7 were levels of exposure and if there were that would
8 interest the inhabitants of that building. Have you
9 notified anybody about their potential exposure
10 because this is a sensitive population. This is
11 what we get back to again. That daycare center is
12 full of sensitive population.

13 **MS. KERR:** I'll take that back.

14 **DR. BREYSSE:** Okay.

15 **MR. ORRIS:** Thank you.

16 **DR. BREYSSE:** So if we move on to health
17 studies updates.

18 **MR. ASHEY:** Hold on, hold on. Rick, so do you
19 have a copy of your work plan?

20 **MR. GILLIG:** Yes, I do. Okay, which page?

21 **MR. ASHEY:** Page four. Again, I request that
22 if you could add something at the bottom under your
23 last sentence there concerning the concerns about
24 combining data between biosparge and air sparge
25 systems which is what we had talked about.

1 Secondly, we also talked about different building
2 types, building structures, foundation types, and I
3 couldn't find that in your building specific
4 information which is on page 10 and 11. So I may
5 have missed it, so maybe we need to get together
6 afterwards and maybe it's there, but during our
7 telephone conversations we talked about the fact
8 that different types of buildings respond
9 differently to vapor intrusion. So the question is,
10 where is that in here?

11 **MR. GILLIG:** We did not include, there are
12 14,000 buildings on base so we have a large data
13 base with characteristics of all those buildings.
14 All those foundation, construction, construction of
15 the building, HVAC system, so forth and so on, are
16 part of the prioritization scheme. We will take
17 that into consideration, but we did not include that
18 level of detail as far as what the characteristics
19 were, in the work plan.

20 **MR. ASHEY:** Well, and therein lies my concern,
21 you know. You're going to take it, not you, but the
22 study will take it into consideration, but it's not
23 delineated in writing anywhere, that if the building
24 has a slab or if the building has a crawl space with
25 a wood floor, there's a big difference in how vapor

1 intrusion is going to act as it permeates up through
2 the ground.

3 **MR. ENSMINGER:** And also how old the building
4 is.

5 **MR. ASHEY:** Yeah. And how old the building is.
6 I mean, I know a lot of those --

7 **MR. ENSMINGER:** If they have a slab, but those
8 original buildings that were built in the early 40s,
9 hell they've drilled holes through the slabs,
10 they're cracked.

11 **MR. GILLIG:** Basically you're talking about
12 what are the considerations when we rank these
13 buildings --

14 **MR. ASHEY:** Right.

15 **MR. GILLIG:** -- and do our study.

16 **MR. ASHEY:** Right. That's what I want to see.

17 **MR. GILLIG:** All of that will be included in
18 the health assessment. We'll include all our
19 assumptions, the final prioritization scheme and how
20 did we rank the buildings, how did we investigate
21 the buildings. All of that will be included in that
22 report.

23 **MR. ASHEY:** Well, then can you include that
24 statement in your work line here that that's what
25 your intent is so that it doesn't get overlooked?

1 **MR. GILLIG:** Well, I don't want to say it's not
2 in the work plan, we'll have to --

3 **MR. ASHEY:** If, again, if you could point out
4 to me where it is because again when we had our
5 teleconference I thought I had a commitment and I
6 can't remember the lady's name --

7 **MR. GILLIG:** Danielle.

8 **MR. ASHEY:** -- Danielle, that something would
9 be put in there. The last item, and Jerry you could
10 probably answer this better, you have a time
11 assumption for exposure over a 10-hour work day.
12 Under normal circumstances eight- to 10-hour work
13 day for Marines is probably normal, but we've been
14 in a war footing here since September 11th and so I
15 would think that most of those Marines are probably
16 working longer than 10-hour days, especially since
17 we're still fighting on three continents. Jerry,
18 would you agree with that, or do you think a 10-hour
19 day is sufficient for exposure?

20 **MR. ENSMINGER:** Ten hours is sufficient.

21 **MR. ASHEY:** Okay.

22 **MR. ENSMINGER:** Because if there had been a --
23 even on a war footing, you know, you'll go to a
24 shift. You're not going to work your people into
25 the ground.

1 **UNIDENTIFIED AUDIENCE MEMBER:** Well, I don't
2 know, the Marine Corps has a tendency to do that
3 from time to time.

4 **UNIDENTIFIED AUDIENCE MEMBER:** Amen.

5 **MR. ENSMINGER:** Yeah, but this isn't --

6 **UNIDENTIFIED AUDIENCE MEMBER:** Everybody here
7 can attest to that.

8 **UNIDENTIFIED AUDIENCE MEMBER:** That's right.

9 **MR. ENSMINGER:** This isn't out in the field,
10 this is in garrison, so...

11 **DR. BREYSSE:** Okay.

12 **MR. ASHEY:** He agrees, 10 hours a day is good,
13 so we're good.

14 **MR. GILLIG:** Okay. Now we --

15 **MR. ASHEY:** So we just have two issues, right?

16 **MR. GILLIG:** Right.

17 **HEALTH STUDIES UPDATES, CANCER INCIDENCE**

18 **DR. BOVE:** So we're going to talk about the
19 cancer incidence study that we're working on right
20 now. To refresh everyone's memory, we're looking at
21 Marines who were -- Marines and Navy personnel who
22 were at Camp Lejeune any time between '75 and '87
23 and civilian workers who were there any time between
24 December '72 or employed there between December '72
25 and '87. We got this data from the Defense Manpower

1 Data Center, it's personnel data, we get social
2 security number, phone, name, date of birth, sex,
3 and a bunch of other information, rank and so on.
4 So we use that information like we did it for the
5 mortality study. First of all, we're going to
6 update the mortality study. The mortality study
7 ended in 2008. We're going to follow people now
8 from 2009 to 2016 and get their vital status and
9 from those who have died, get their cause of death.
10 And then we're going to send -- we have about
11 530,000 people in this study, most of them Marines,
12 about 16,000 civilian workers, so the rest are
13 Marines both at Camp Lejeune and at Camp Pendleton.
14 And we're going to be sending all that data to the
15 cancer registries around the country.

16 Right now we have 42 state registries that have
17 agreed to work with us. We have one additional
18 state that has -- we need to work out a data use
19 agreement so that'll be the 43rd state. We have the
20 VA, the District of Columbia, Puerto Rico, and the
21 Pacific Island registries as well. So all total we
22 have 46 confirmed registries, one more that is
23 partial. The DoD, we're working with, we'll get
24 that on board too, their registry. And there's two
25 states that cannot participate, Kansas and West

1 Virginia, because of their state laws that prevent
2 them from giving us information connected to
3 personal identifying information. And Illinois,
4 we're going to try to work with. They haven't been
5 able to do any studies for anybody for several years
6 because of personnel problems, staffing problems,
7 but we're going to work with them and see if they
8 can't participate. So --

9 **MR. ENSMINGER:** What about Florida?

10 **DR. BOVE:** We have Florida, we have Texas.

11 **MR. ENSMINGER:** Okay.

12 **DR. BOVE:** Finally.

13 **MR. PARTAIN:** So Florida is on line now?

14 **DR. BOVE:** Yeah. It was -- it's been a long
15 process. This is a unique study, I don't think any
16 research or any study has ever tried to do a data
17 linkage study, which is what this is, with as many
18 state registries, as we are. So this is brand new
19 territory and hopefully it'll spark a national
20 cancer registry some day; that's one of our hopes.
21 But in the meantime we've gone through this process.
22 It's taken us two years to get these states on
23 board. We thought it might take three, so we're
24 doing better than we thought, but it's still been a
25 difficult process. And so the way it works is that

1 we have a contractor now. We had one call with
2 them, we're going to have a face to face meeting
3 within two or three weeks and then they can get
4 started. The first part of the study is to find out
5 the vital status of everybody. So to identify those
6 who have died and those who haven't and we use --
7 they'll be using a locator firm. The contractor, by
8 the way, is Battelle and --

9 **MR. ENSMINGER:** Who?

10 **DR. BOVE:** Battelle.

11 **MR. ENSMINGER:** Oh. I thought you said Mattel.

12 **DR. BOVE:** Battelle, right. The good news
13 about Battelle here is that subcontra -- that one of
14 the subcontractors is the national association of
15 all the state cancer registries. So we have as the
16 subcontractor an entity that's worked with all the
17 state cancer registries that the cancer registries
18 recognize, so that will be a big help in this study.
19 So anyway, so we -- they'll use a locator firm to
20 find out the vital status. Those who have died we
21 then send to what's called the National Death Index.
22 There is a national death index, we used it in the
23 mortality study, and get cause of death of those who
24 have died or to check to see for those we can't
25 locate, oftentimes we can't get vital status on

1 everybody, for some reason there's -- people can't
2 be found through that process, the National Death
3 Index will tell us whether they know whether they've
4 died or not and if they did, get cause of death
5 information. So once we have that information we
6 can start updating the mortality study to at least
7 2016. It takes -- there's a delay in getting this
8 data at the National Death Index. It's also similar
9 to the delay at the cancer registry. So we'll be
10 able to get data up to the end of 2016 on cancers
11 and on cause of death for the study. We won't be
12 able to get it beyond that. So we'll get the
13 mortality data sometime early next year. We'll get
14 the cancer data later in that year, later next year,
15 and hopefully be able to turn these around as
16 quickly as possible. Basically, we're doing four
17 studies, two mortality studies, one for civilian
18 workers, one for Marines and Navy personnel, and
19 then two cancer incidence studies. Again, one for
20 Marines and Navy personnel, one for civilian
21 workers. So it's a lot of work, but we'll try to
22 turn it around as quickly as possible. So I don't
23 know if there's any other information I need to give
24 you. Are there any questions?

25 **UNIDENTIFIED AUDIENCE MEMBER:** Yeah. Do you

1 have them on a time restraint? A time for them to
2 come up with your data?

3 **DR. BOVE:** Well we hope, I mean, we --

4 **UNIDENTIFIED AUDIENCE MEMBER:** I'm not
5 referring to hope, sir.

6 **DR. BOVE:** No. Okay.

7 **UNIDENTIFIED AUDIENCE MEMBER:** All right. I've
8 been a contractor for over 25 years, there are time
9 restraints that should be put into any contract, or
10 are you giving them the free reign, oh we might have
11 it in two years, in 10 years they finally come back
12 with the data.

13 **DR. BOVE:** No. No. It's a two-year contract,
14 okay, so there's an end. As I said, we will get the
15 cancer data sometime near the end of next year so
16 that -- let me just look at this.

17 **UNIDENTIFIED AUDIENCE MEMBER:** Have you put in
18 --

19 **DR. BOVE:** We haven't --

20 **UNIDENTIFIED AUDIENCE MEMBER:** -- a time
21 deficit for the money?

22 **DR. BOVE:** The contract is over after two
23 years. We will get the data. As I said, we'll get
24 the mortality data early in 2019. I'm looking at
25 this and probably the earliest we'll get the cancer

1 data is near the end of 2019, early 2020. That's
2 what we have. These are the dates that the
3 contractor said they will provide this data. We
4 still have to work out these dates, so they may move
5 one, two, or three months either way, but they're
6 not going to move any more than that. So this
7 contract ends, we have to have the data at the end
8 of the contract. We have to have all the data at
9 the end of the two years, so that's set.

10 **UNIDENTIFIED AUDIENCE MEMBER:** If they don't
11 come up with all the data that you're expecting,
12 you're not paying them, right?

13 **DR. BOVE:** There's no reason to think that they
14 won't for one thing because we've worked --

15 **UNIDENTIFIED AUDIENCE MEMBER:** I understand
16 that --

17 **DR. BOVE:** -- we've worked out all the
18 arrangements with the cancer registries. As I said,
19 the subcontractor is the, basically the trade
20 association for all the state cancer registries.
21 There should be no problem with getting the data on
22 time. But we will hold this contractor to that.
23 Okay? So we will get this information. It will
24 take us a while to analyze all this, that may be the
25 delay, not the contractor, if there's going to be

1 one.

2 **MR. ENSMINGER:** And then your report falls into
3 the black hole.

4 **DR. BOVE:** We -- yeah. We have -- there are
5 journal articles here --

6 **MR. ASHEY:** Don't say yeah. Don't say yes.

7 **DR. BOVE:** Okay, I won't say yes. We have
8 agency clearance and that takes time.

9 **MR. ENSMINGER:** Yeah. It's a black hole.

10 **DR. BOVE:** We also will have journals, these
11 are journal articles so we're, you know, the
12 journals may take some time too so we can't control
13 what the journals do, but we will, as I said, turn
14 this around as quickly as we can.

15 **UNIDENTIFIED AUDIENCE MEMBER:** This data, who's
16 going to be the -- who's going to be the subjects
17 for you to put this, all this data together? Your
18 test subjects, who's going to -- do you have
19 somebody you're going to contract out to put
20 together data for you? Who's going to be the test
21 subjects for this data?

22 **MR. ASHEY:** The group, the exposure groups,
23 Frank, I think is what he's asking.

24 **DR. BOVE:** I don't think he understands --

25 **UNIDENTIFIED AUDIENCE MEMBER:** The exposure

1 group itself. We're going to be the -- so you're
2 going to be analyzing us while we deteriorate. Is
3 that what you're saying?

4 **DR. BOVE:** We're going to get cancer data, as I
5 said, on all the Marines who were at Camp Lejeune
6 any time between '75 and '87. And for civilian
7 workers from December '72 through '87. Those are
8 the people we're going to get mortality information
9 on and cancer incidence data on. And a similar
10 group at Camp Pendleton.

11 **UNIDENTIFIED AUDIENCE MEMBER:** I don't have a
12 degree in medicine or anything, but wouldn't it be
13 simpler if you just take everyone that's been
14 exposed to the water and then medical -- medically
15 treat -- take over the responsibility for their
16 medical history until a certain period of time?

17 **DR. BOVE:** This is a study and a study --
18 you're asking about healthcare. We don't provide
19 healthcare.

20 **UNIDENTIFIED AUDIENCE MEMBER:** Well, you
21 should.

22 **MR. PARTAIN:** No, no, no. That's -- they're
23 trying to get the studies done so, you know, we can
24 go to Congress to get what you're asking for.
25 That's -- we, believe me, this is something we've

1 been fighting for and fighting over for years and I
2 know when I started we got a lot of slammed doors in
3 our face and it's taken a while to get where we're
4 at.

5 **MR. ENSMINGER:** Well, what you have to
6 understand is that every environmental exposure
7 issue, whether it's Camp Lejeune or somewhere else,
8 you take a look at the contaminants that you were
9 exposed to and then scientists study this stuff and
10 it furthers science's knowledge and the medical
11 community's knowledge about what these chemicals
12 cause. For instance, the VA is in a battle right
13 now with the Blue Water Navy veterans that were on
14 aircraft carrier battle groups off of Vietnam.
15 They're claiming they were exposed to Agent Orange.
16 They said it was -- it floated out there. And you
17 know, I am skeptical of that, I agree with the VA on
18 that point, but however anyhow, you've got to have
19 science. You just can't willy-nilly go in and say
20 hey, I was exposed to this or that and I know it
21 caused my cancer or my other health effect. You've
22 got to have the science to back you, believe me.
23 I've been fighting this for 21 years. You can't
24 realize how many roadblocks I have had thrown up in
25 my face. Well, Senator Burr and Senator Hagan and

1 myself and Mike were told so many times it would be
2 premature and irresponsible to provide health
3 benefits and disability benefits to Camp Lejeune
4 because all the science isn't in. So this science
5 is important and these studies and I'm a firm
6 believer that the Camp Lejeune cancer incidence
7 study is going to be the most informed study that
8 has been done on Camp Lejeune as up to this -- up to
9 this time. Science is going to gain an awful lot
10 and I truly believe that the cancer incidence study
11 for Camp Lejeune is going to put the nail in several
12 chemicals' coffins, the final nail. I hope I live
13 to see it.

14 **DR. BREYSSE:** So I think we've moved into the
15 CAP updated community concerns part of the --

16 **MR. PARTAIN:** Actually, I've got something
17 before we go there.

18 **DR. BREYSSE:** Too late.

19 **MR. PARTAIN:** And this goes to what Frank was
20 saying and also kind of going back to what Jerry was
21 talking about with revisiting. When we did the
22 presumptive there was not very much evidence out
23 there for -- or studies out on male breast cancer
24 and exposure to solvents. And that was one of the
25 reasons why male breast cancer was not included in

1 the presumptive, even though ATSDR had their study.
2 Recently there was a European study on male breast
3 cancer, I understand, and interesting, you know.
4 I'll read the summary. It says: Exposure to organic
5 solvents is subject to increased breast cancer risk.
6 The previous epidemiological studies have often
7 restricted to women and are generally less exposed
8 than men who are exposed. In our data -- my eyes
9 are getting bad -- in our data high occupational
10 exposure to trichloroethylene was associated with a
11 doubling of odds of ratio of male breast cancer and
12 a dose response trend. A possible for benzene and
13 ethyl glycol was also suggested.

14 Going back to the revisiting, I mean, here's
15 another study, a European study from what I
16 understand. It's pretty extensive, saying that
17 there is a direct correlation between exposure to
18 trichloroethylene and male breast cancer. I don't
19 know if you've seen the studies, or study --

20 **DR. BOVE:** I sent it to you.

21 **MR. PARTAIN:** Yeah. I mean as far as going
22 through, what I'm saying. But the -- I don't know
23 if you guys have sat down and talked to the VA yet
24 or what we're going to do about it, I mean, that's -
25 - have you got something else on the table, what are

1 we going to do about revisiting things like this as
2 they come up?

3 **MR. ENSMINGER:** We have to make it happen.

4 **MR. PARTAIN:** That's what I meant to say,
5 Frank, I'm sorry.

6 **DR. BOVE:** That was an interesting study.

7 **MR. PARTAIN:** Yes.

8 **MR. ASHEY:** I have just two quick things. One,
9 I want to make sure that Department of the Navy is
10 going to take back my request to have the CH2M Hill
11 representative come to the CAP meetings and if the
12 answer is no, I'd like to know why.

13 Secondly, I just want to confirm this, Rick and
14 Jack, that your VI study, while it's going to
15 include the 21 buildings, the ATSDR study will not
16 be constrained, I repeat, will not be constrained by
17 CS -- CH2M Hill's methodology or their conclusions.

18 **MR. GILLIG:** It will not be constrained.

19 **MR. ASHEY:** Thank you. I appreciate --

20 **MR. GILLIG:** I assure you of that.

21 **MR. PARTAIN:** Well, Frank, going along with the
22 male breast cancer, are you guys trying to do or
23 address anything with the VA in light of this new
24 study? I mean, male breast cancers were in itself
25 and to get a study like this is pretty substantial.

1 **DR. BREYSSE:** I guess we need to talk to the VA
2 about what they would like us to do with it. The VA
3 has access to the study, knows what the results are
4 as well.

5 **MR. PARTAIN:** Well, there's different track
6 record on this and we're still waiting on the IOM
7 report for 2015. So I would like to see something
8 done or maybe a second look taken at it, take a look
9 at it.

10 **DR. BREYSSE:** So if you remember correctly, we
11 did this on behalf of the VA initially. It was a
12 very specific request from the Secretary to do so,
13 and so it's part of the executive branch, we were
14 supposed to get with our federal family, and we will
15 support the VA in any way we can as long as they ask
16 us to do it.

17 **MR. ENSMINGER:** So we've got to make something
18 happen.

19 **MR. PARTAIN:** Okay. That's -- I heard it.

20 **MR. ENSMINGER:** There should've been something,
21 a frequency built into that rule.

22 **DR. BREYSSE:** I don't disagree.

23 **MR. ORRIS:** I think some of the other
24 legislation that's similar, typically has a three-
25 year review process built into it now. Maybe we can

1 get that added or changed.

2 **CAP UPDATES/COMMUNITY CONCERNS**

3 **DR. BREYSSE:** All right. So now we can have --
4 we've had some questions and comments throughout.
5 We have some time set aside to get any updates from
6 the CAP or any community concerns expressed from our
7 visitors in the audience. And if you could stand up
8 or raise your hand, we'll bring a microphone to you.

9 **MR. PARTAIN:** One thing we'd like to ask
10 because, you know, we do have time constraints, with
11 the audience and everything, like I said, first of
12 all we want to thank y'all for coming out, being
13 here. When I started this, Jerry mentioned we've
14 been doing this 21 years, when I started this 11
15 years ago there was nobody here from the community,
16 we had nobody in the audience and we only had 96
17 people on our website. So to see a room filled is,
18 I mean, I'm grateful for that. With that note, I
19 mean, everyone to a degree has been affected by
20 Lejeune. I've had breast cancer. Jerry, you know,
21 lost his daughter. There's been a lot of, you know,
22 a lot of things have happened. We just can't go
23 over the life details when you speak. Please ask a
24 question, make it succinct, to the point so we can
25 get some information, 'cause a lot of, I mean, just

1 here during the meeting today I've received texts,
2 some emails and people bringing information that
3 I've been able to bring up to the meeting and get to
4 the ATSDR, to the VA, that's what we need. I mean,
5 the stories, unfortunately, we just can't, there's
6 no way we can get to them, we'll be here all day.
7 But if you've got something, something that's not
8 being addressed by the VA. One of the issues I
9 didn't bring up, you know, people being awarded
10 service connection, but given a zero percent rating,
11 that's still going on, that's still a problem to
12 bring back to the VA. If you have that I'd like to
13 hear about it. You know, let's get engagement and,
14 you know, give everyone an opportunity to speak
15 before we leave today.

16 **DR. BREYSSE:** Yeah. And we have a limited
17 amount of time for that and while we don't have a
18 hard stop on the room, we probably can't stay, you
19 know, too much longer past our end time.

20 **MR. SMITH:** Good afternoon, ladies and
21 gentlemen. My name is Larry Smith. Can everybody
22 hear me?

23 **CDR MUTTER:** Yes.

24 **MR. SMITH:** I say, good afternoon ladies and
25 gentlemen.

1 **UNIDENTIFIED AUDIENCE MEMBER:** Good afternoon.

2 **MR. ENSMINGER:** How about having him come up
3 here where everybody can see him?

4 **MR. SMITH:** Can everybody see me now?

5 **CDR MUTTER:** Yes.

6 **MR. ENSMINGER:** I'm getting old, it's hard for
7 me to turn my head around.

8 **MR. SMITH:** You win. I joined the Marine Corps
9 in 1963 and left for Parris Island in September that
10 year. After I finished Parris Island I went to Camp
11 Lejeune where I was poisoned by the drinking water.
12 From there I went to Chu Lai Vietnam where I
13 encountered a dioxin known as Agent Orange. I took
14 a malaria pill every day for protection and
15 evidently it wasn't effective because I was
16 Medevac'd from the USS Repose in '66 with malaria.
17 We've got water purification pills in our drinking
18 water, we were issued insect repellent, bug spray
19 which would take the paint off a jeep or a truck.
20 We waded through rice paddies where the fertilizer
21 was human and animal waste. We used C ration
22 cigarettes to burn the leeches off our legs and
23 backs and the cigarettes were free in C rations. I
24 didn't smoke before I went to Vietnam. But then
25 what's the possibility of a healthy young man

1 ingesting and being exposed to all these types of
2 poison and not having complications later in life?
3 My brother, my niece, and my nephew are all doctors,
4 and when I started this journey about trying to find
5 out how to take care of this cancer that I had I
6 asked them to do some research on it. They came up
7 with 162 research articles and things written about
8 multiple melanoma which I had and which I recovered
9 from. So not only am I a Vietnam veteran, I'm also
10 a cancer survivor. And in the course of their
11 research they told me that almost all cancers have
12 all these studies done, but when we apply for
13 disability for compensation from the VA we don't do
14 that, we don't send them the information.

15 **MR. ENSMINGER:** You had multiple myeloma?

16 **MR. SMITH:** No, melanoma.

17 **MR. ENSMINGER:** Melanoma.

18 **MR. SMITH:** Yeah. A small difference.

19 **MR. ENSMINGER:** I thought you said multiple
20 myeloma.

21 **MR. SMITH:** I'm sorry. But anyway, my point of
22 being here today is it's a journey that not just
23 I've made but many of us in this room have made the
24 same journey. And even though when we do get
25 treated from the VA, I think it's great, it's just

1 going through the bureaucratic bullshit to get to
2 the treatment is the problem. And I know that the
3 bureaucrats are a vital source for our nuts and
4 bolts throughout our government, but we need to do
5 something about the communication and about the --
6 to make the path easier. Now, I've addressed the
7 director of the VA here in another town meeting on
8 two different occasions about communications and she
9 said she would work on it. What brings this to a
10 head is I had a cancerous growth on my back in
11 October and asked for it to be cut off and they
12 scheduled me for surgery in June. So I asked to go
13 to the Choice program and they scheduled me for an
14 operation in May. So I finally said I was going to
15 take it off myself. So the mental health people got
16 involved and I had it taken off that weekend. But I
17 went to all these extremes, including filing a
18 complaint for elder abuse at the VA because I wasn't
19 being treated. And I urge all of you to take that
20 step. You have to demand or pursue these people to
21 get this stuff done. You can't just say okay. The
22 162-page study that I have over here in my bag, when
23 I sent that in for my disability claim, the person
24 who reads that is not an MD or a PhD, he's a claims
25 counselor. We should have more weight in this

1 thing. I'm just saying, send them the evidence,
2 send them the information and if they reject it then
3 you've got the claims court to go through. Thank
4 you.

5 **DR. BREYSSE:** Thank you for your comments, sir.

6 **MR. BROOMFIELD:** Hi, my name is Curt
7 Broomfield. I flew in from Corpus Christi, Texas,
8 to ask these questions. I remember it was about
9 five or six years ago I got a letter from CMC
10 Washington, D.C. I thought hell, they got me again,
11 I'm going back in. But you know what, I read about
12 the water and I said, oh, my god. One of my best
13 friends who was with me at Camp Lejeune, I buried
14 him a few months ago and he died of pancreas cancer
15 and everything else and we spent four years at Camp
16 Lejeune. I was a WSSI, water safety survival
17 instructor, spent a lot of time in the pools, so did
18 my buddy that's over there with me and you know, we
19 worry about being in the water a whole lot besides
20 drinking it. And my question is, I've gone through
21 four VA doctors and I ask them, what should I be
22 tested for and how often should I be tested because
23 there's thousands of us Marines that are from there.
24 And you know, none of them can give me an answer.
25 And one of them was the chief medical officer for

1 South Texas and he really didn't know. So I guess
2 my point is, is I would like the VA to figure out
3 anybody that was at Camp Lejeune, what should we be
4 tested for and how often should we should be tested
5 for those items and not have to think about it and
6 let the doctors know oh, you're a survivor of Camp
7 Lejeune, you know what, we're going to start running
8 these tests at this interval. And then when we get
9 something I would like there to be a website where
10 we can go to, to quickly help, you know, if I do
11 start pancreas or something else cancer, that we can
12 get quick help to start fighting it, that we don't
13 have to wait till we're dying to show up at one of
14 these meetings to get help. But I mean, the
15 proactive, I'd like this to be proactive. There's a
16 lot of Marines that went through there and nobody
17 knows what they should be testing us for and how
18 often. They know what chemicals were there, they
19 kind of know what kind of cancers, they should be
20 able to come up with, guys we should be testing you
21 for this at this interval. And nobody's done that
22 and nobody in the VA system understands that.
23 That's the basics. Let's get that in place so the
24 rest of us can maybe avoid being sick and dying.
25 And that's what I really, I challenge the VA to fix

1 that part immediately. That's it.

2 **MS. CARSON:** Thank you. This is Laurine
3 Carson. I would say that you raised some valid
4 points and I will take that back for the record so
5 that I can share that with others who have that
6 responsibility to decide that.

7 **MR. ENSMINGER:** Preventive medicine.

8 **MS. CARSON:** Uh-huh (affirmative).

9 **DR. BREYSSE:** Any other questions or comments?
10 Anyone else? Any last things from the CAP?

11 **MR. ENSMINGER:** Well, just one more thing about
12 science. Believe me, science is not fast. Good
13 science is not fast. Junk science is fast but good
14 science is slow. I couldn't believe it when I first
15 got involved with this how long some of this stuff
16 takes. I mean, it's -- but you know, I've got so
17 many people coming up to me saying, Jerry, I was at
18 Camp Lejeune and, you know, I got this health effect
19 but they won't cover it, why not? Well, you know I
20 have to tell them. There's no science there. I
21 mean, without science if you can't back up some of
22 these -- especially if you're providing benefits to
23 somebody, I mean hell, everybody could run in and
24 say oh hey, I got this, you know, it was caused by
25 this, give me my check. Well, it don't work like

1 that. Believe me, I know.

2 **MR. PARTAIN:** One of the things that, you know,
3 speaking to y'all out in the audience again, those
4 who come in here that have done their homework, got
5 their claim together, got their denial, have their
6 doctors' letters, their nexus letters, I can't tell
7 you how many times over the past 10 years I've had
8 veterans walk up to me, here it is. Then we look at
9 it and then we realize because we've been involved
10 in this for 10, 20 years, where the bullshit is.
11 And that is, you know, the meeting that Jerry
12 referenced with Secretary Wilkie those were veterans
13 who approached Jerry and myself through the
14 internet, through the meetings like this and said,
15 here's what happened to me. Those have been
16 instrumental in getting to where we're at now.
17 Without that we wouldn't be here. So one thing I do
18 encourage you all, you know, get on Facebook, get on
19 the websites, talk to us there and get, you know, if
20 you've got a complete claim and that claim has been
21 denied and you've got your nexus letter, send me a
22 message. I do work during the day, I'm not like
23 Jerry, he's retired. But I do my best to look at
24 these sites, and while during the meeting I had the
25 veterans send me their claim, unfortunately, the

1 veteran is deceased, but his surviving spouse sent
2 me the claim that was part of the denial I read here
3 earlier. But that information we can take and do
4 something with. Just putting it on Facebook that I
5 had such and such cancer, I've been denied, doesn't
6 do any good. But if you can, you know, if you guys
7 can do your homework like that and give us the
8 ammunition that we can use to shoot and to help
9 everybody out, that's where things make a
10 difference.

11 **MR. HIGHTOWER:** Before we finish, Jerry there
12 talking about the different tests, when you go back
13 if you'll get with your primary care and that goes
14 for all of you, you need to have two things done.
15 One, I'd like to see everybody get a density test on
16 their bone density. You've got my number, call me
17 and let me know how it come out. Two, concern about
18 cancer, it's called PET, P-E-T test. Have your
19 primary care set you up. It takes about two and a
20 half hours in nuclear medicine, they're going to run
21 nuclear dye through you and then they're going to
22 put you in the scanner. It will pick up a pin drop
23 of cancer throughout your whole body.

24 **DR. BREYSSE:** I think we'll call the meeting
25 adjourned. Thank you all very much. [12:35 p.m.]

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Master Court Reporter, do hereby certify that I reported the above and foregoing on the day of August 8, 2018; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 6th day of Sept., 2018.

Steven Ray Green, CCR

STEVEN RAY GREEN, CCR, CVR-CM, PNSC

CERTIFIED MERIT COURT REPORTER

CERTIFICATE NUMBER: A-2102