

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

THIRTY-SIXTH MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

January 21, 2017

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at the Courtyard Marriott, 5046 Henderson
Drive, Jacksonville, North Carolina, on January 21,
2017.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

January 21, 2017

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS DR. PATRICK BREYSSE	5
VA UPDATES MR. BRAD FLOHR, MR. BRADY WHITE, DR. ALAN DINESMAN, DR. RALPH (LOREN) ERICKSON	12
ACTION ITEMS FROM PREVIOUS CAP MEETING MS. JAMIE MUTTER	59
PUBLIC HEALTH ASSESSMENT UPDATES MR. RICK GILLIG	76
UPDATES ON HEALTH STUDIES DR. FRANK BOVE	81
CAP UPDATES AND COMMUNITY CONCERNS CAP MEMBERS	96
COURT REPORTER'S CERTIFICATE	129

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

BOVE, DR. FRANK, ATSDR
BREYSSE, DR. PAT, NCEH/ATSDR
CANTOR, DR. KEN, CAP TECHNICAL ADVISOR
CORAZZA, DANIELLE, CAP MEMBER
DINESMAN, DR. ALAN, VHA
ENSMINGER, JERRY, COMMUNITY MEMBER
ERICKSON, DR. RALPH LOREN, VA
FLOHR, BRAD, VA
FORREST, MELISSA, NAVY/MARINE CORPS
FRESHWATER, LORI, CAP MEMBER
GILLIG, RICHARD, ATSDR
HODORE, BERNARD, CAP MEMBER
JOHNSON, DR. MARK, ATSDR
MUTTER, JAMIE, ATSDR
ORRIS, CHRISTOPHER, CAP MEMBER
PARTAIN, MIKE, COMMUNITY MEMBER
TEMPLETON, TIM, CAP MEMBER
WHITE, BRADY, VA
WILKINS, KEVIN, CAP MEMBER

1 later in the day for community members to express
2 their concerns. We'd like you to hold those concerns
3 until that time, if you could, please.

4 With that, I'd like to go around the room and
5 begin by welcoming everybody. So my name is Patrick
6 Breysse. I'm the Director of the National Center for
7 Environmental Health and the Agency for Toxic
8 Substances and Disease Registry. So those are two
9 different groups but they're related to one another.
10 In this capacity I'm here as the head of the ATSDR.
11 And we've been involved in Camp Lejeune for many
12 years. And the camp -- Community Assistance Panel has
13 been a vital contributor to work with you, and we get
14 that input through this and other meetings.

15 So again, I'd like to welcome you all. I think
16 we have a number of important things we'd like to talk
17 about today. So with that short introduction I'd like
18 to go around the room and ask people to introduce
19 themselves for the record, starting with...

20 **MS. MUTTER:** Hi, I'm Commander Jamie Mutter with
21 ATSDR.

22 **MS. FORREST:** Melissa Forrest, Department of Navy
23 representative.

24 **MR. GILLIG:** I'm Rick Gillig, ATSDR.

25 **DR. JOHNSON:** Mark Johnson, ATSDR.

1 **DR. BOVE:** Frank Bove, ATSDR.

2 **DR. CANTOR:** Ken Cantor, a member of the CAP.
3 I'm the technical expert and former National Cancer
4 Institute person.

5 **MR. WILKINS:** Kevin Wilkins, CAP member.

6 **MR. HODORE:** Bernard Hodore, CAP member.

7 **MR. TEMPLETON:** Tim Templeton, CAP member.

8 **MR. PARTAIN:** Mike Partain, CAP member.

9 **MR. ENSMINGER:** Jerry Ensminger, CAP member.

10 **MS. FRESHWATER:** Lori Freshwater, CAP member.

11 **MS. CORAZZA:** Danielle Corazza, CAP member.

12 **MR. ORRIS:** Chris Orris, CAP member.

13 **MR. FLOHR:** Brad Flohr with the Department of
14 Veterans Affairs Compensation Service.

15 **DR. DINESMAN:** Good morning. Dr. Alan Dinesman,
16 medical officer with the Office of Disability and
17 Medical Assessment with VHA.

18 **DR. ERICKSON:** Loren Erickson. I'm the chief
19 consultant for health services, Veterans' Affairs.

20 **MR. WHITE:** And Brady White. I am the program
21 manager for the family member program for Camp
22 Lejeune. With the VA.

23 **DR. BREYSSE:** Fantastic. So the first item on
24 the agenda is an update from the VA to provide us an
25 update on their programs. So if I could turn it over

1 to the VA.

2 **MR. PARTAIN:** Actually, Dr. Breysse?

3 **DR. BREYSSE:** Yes.

4 **MR. PARTAIN:** If I may, there is a gentleman
5 here, a former Marine, who has a medical condition and
6 is going to have to leave, and he asked if we could
7 have a minute to kind of pose a question or statement
8 to the CAP. And I do understand that this is -- well,
9 the CAP meeting, that there will be a public comment
10 period at the end and the public meeting tonight, but
11 unfortunately he's going to be unable to make it.
12 Would that be possible?

13 **DR. BREYSSE:** So I think we can make an exception
14 in this case, but I'd like to remind the rest of the
15 public that if you can hold your comments 'til the end
16 we'd appreciate it.

17 **MR. PARTAIN:** His name is William Retallic (ph).
18 He is here. Do we have a microphone we can bring to
19 him?

20 **DR. BREYSSE:** Actually you can go ahead and stay
21 seated, sir.

22 **MR. PARTAIN:** If you'd introduce yourself for
23 everybody.

24 **MR. RETALLIC:** Thank you very much. Is this on?
25 My name is William Retallic. I was at Camp Lejeune,

1 1954-1955. I didn't become aware of any of this until
2 2016, and I've been avidly researching and trying to
3 understand what's going on, because I have a lot of
4 problems.

5 And I just came down here to ask one question.
6 If our body is predominantly water, and I drink water
7 from one of these bottles, and it permeates my entire
8 system. Water goes to my brain, it goes to my lungs,
9 it goes to my tissues, my nerves, bladder, everywhere.
10 If I drank contaminated water, is it not reasonable to
11 conclude that that water follows the same path and
12 permeates our entire body? With that being the case,
13 and you have identified liver cancer, bladder cancer
14 and kidney cancer. And my concern and my question is
15 what about prostate cancer? What about testicular
16 cancer? What about penile cancer? What about any
17 other malady, cancer, and the nervous system disorder
18 that that water passed through on the way to
19 excretion. And my concern is was any consideration
20 given to that analogy?

21 And the other one is that DDT was commonly used
22 at Camp Lejeune in hot, humid weather. In many
23 evenings in the summers that I was here that was
24 sprayed all over the place. So that's, that's all I
25 have to say. I just could not understand why I have

1 all these other problems that are not on the list. I
2 thank you for permitting me to speak.

3 **DR. BREYSSE:** Thank you for your comments, sir.
4 And I'd like to just briefly try and address that.
5 Recognize that your questions are, on the surface,
6 seems simple but in reality are pretty complicated.
7 But our goal at ATSDR is to identify -- you know,
8 generate an evidence base that associates diseases
9 with exposers at Camp Lejeune. And when we find that
10 that evidence base is suggestive, or informs that
11 relationship, we make that information known and we
12 work closely with other agencies like the VA to see
13 what that information means in terms of policy that
14 they might develop.

15 So the, the evidence base that we've identified
16 focuses on a range of conditions, and as new
17 information comes available we'll gladly consider
18 looking at a broader range of conditions. But right
19 now the conditions that we think that there's strong
20 evidence for, the conditions that we've already
21 forwarded to the VA. But I can assure you in the
22 future, as we learn more about these cancers and at
23 other cancer sites, we will look very carefully at
24 what that means, and advocate on behalf of the science
25 that might affect the stakeholders like yourself. I

1 don't know if anybody else wants to add anything?

2 **MR. PARTAIN:** And Dr. Breysse, if I might add,
3 one of the things that is currently ongoing with your
4 agency is a groundbreaking cancer incidence study,
5 which is using the National Cancer Registry -- or
6 sorry, using the cancer registries across the states
7 to help identify the occurrence of cancer among the
8 Lejeune population. And once that study is completed,
9 and we hope to be able to expand the list with the VA,
10 and also hopefully in the future address the
11 dependents and the civilian employees on the base as
12 well.

13 **MR. ENSMINGER:** And everybody needs to
14 understand -- this is Jerry Ensminger -- everybody
15 needs to understand that science is not a quick thing.
16 I mean, it moves at glacial speed, and that's just
17 science in itself. And unfortunately in situations
18 like Camp Lejeune not only is science slow, because
19 that's the nature of it, you've also got people that
20 are detractors from wanting science to find anything.
21 And then it becomes a political football.

22 And believe me, I've been involved in this for 20
23 years. I've been kicked around quite a bit but I'm
24 still here. You find it odd once you get involved in
25 this thing that the United States Department of

1 Defense, who was created to protect us, has become
2 strange bedfellows with people like the Halogenated
3 Solvents Industry Alliance, for Christ's sake. So I
4 mean, really strange. But that's the way it is, and
5 all you got to do is just keep fighting. And I mean,
6 I'll be fighting this. I'm under no illusions. I'll
7 be fighting this until they run me through the crispy
8 critter machine, so.

9 **DR. BREYSSE:** Thank you for starting us off with
10 that prayer, Jerry. So again, I want to thank you for
11 your service, and hopefully we'll do justice to your
12 concerns as we generate as much data as we can in the
13 future.

14 **VA UPDATES**

15 **DR. BREYSSE:** So with that I'd like to turn it
16 over to the VA.

17 **MR. FLOHR:** Jamie, can you put up those slides?

18 **DR. BREYSSE:** I'd like to welcome CAP members, or
19 tell those members, if you'd like to ask a question or
20 comment, to lift your name tent up so we can call
21 people in an orderly fashion.

22 **MR. FLOHR:** Okay. Good morning. It's very nice
23 to see so many of you here today. I know it's an
24 important issue for all of you, as it is for us. I
25 think you may be aware, or at least I hope you are,

1 that on January 13th we published a final rule creating
2 a presumption of service connection for eight diseases
3 associated with the contaminated water. That rule has
4 to be reviewed by Congress because it's over a hundred
5 million dollars a year, and the Congressional Review
6 Act requires Congress, or at least authorizes them, to
7 review the regulation. In theory they could throw it
8 back and say if this is too expensive. I doubt that's
9 going to happen; I certainly would hope not. I've
10 never seen that it has, but they do have that
11 authority.

12 The eight diseases we published: leukemia,
13 aplastic anemia, bladder cancer, kidney cancer, liver
14 cancer, multiple myeloma, non-Hodgkin's lymphoma and
15 Parkinson's disease. Although the rule was published
16 on January 13th, it does take until the end of the
17 Congressional Review Act review, 60 days, before it
18 becomes effective.

19 Once it becomes effective then we will start
20 working the claims that we have stayed. And since the
21 Secretary announced his decision to create the
22 presumptions, we have stayed over 1,430 or so claims
23 for those eight diseases. Those are ones that we
24 could not grant based on getting positive medical
25 opinions in the individual case. So once the review

1 becomes -- or the reg becomes final and we can
2 authorize benefits, we will start working those
3 1,400-plus claims right away. And then of course
4 after that, any new claims we get we don't have to do
5 anything except process them and work them, and grant
6 them.

7 I wanted to give you the updated data you asked
8 for through December. We've had over 18,000 unique
9 veterans who have filed a claim. We have processed
10 18,016. We have 10,811 veterans who have active
11 awards, not necessarily based on Camp Lejeune, but for
12 something. They're getting compensation for
13 something. The number of veterans receiving benefits
14 are 60 percent of Camp Lejeune veterans are in receipt
15 of some benefit, some compensation. 7,200 receiving
16 benefits. Not receiving benefits, 40 percent. Active
17 individual unemployed awards -- I don't know why we
18 have this on here. That's not of interest to any of
19 you, I don't think. But that's the veterans getting
20 100 percent, even though they are not rated a hundred
21 percent, but because they have worked to their
22 service-connected disability. Total completed claims,
23 23,958. Next slide, please.

24 These are for pension, nonservice-connected
25 pension or service-connected dependency or indemnity

1 compensation awarded to subscribers. There is a count
2 only of 960. Of these issue granted 117. Total
3 active awards, 1080. Next slide.

4 It's hard to see this, isn't it? Big slide. The
5 conditions are on the left side. Total claimed, total
6 granted, percent of granted, total denied and the
7 percent. You can see, if you can't see there, of the
8 eight diseases we have creating presumption we have a
9 fairly good grant rate of over 20 percent for each of
10 those. What drags down the total numbers is the
11 number of neural behavioral disorders. 2,747 have
12 been claimed, and only about two percent of those have
13 been granted. That is they have received a positive
14 medical opinion enabling us to grant the claim.
15 Overall the total primary disease categories, the 15
16 listed there, grant rate is 14 and a half percent.
17 And miscellaneous conditions, again 37,000
18 miscellaneous conditions, only a two percent grant
19 rate. And again, the end from last month or from the
20 last meeting, I believe, on the number of those types
21 of claims that we've received, and the top ten, and it
22 contained migraine headaches, diabetes, hypertension,
23 heart disease, things like that. Things that have not
24 been associated, or at least that I'm aware of, with
25 exposure to these contaminants. So those numbers

1 really drag down the overall rate, of the grant rate.

2 And I think that's the last slide. Thank you.

3 **DR. BREYSSE:** Would you like some questions now
4 or --

5 **MR. FLOHR:** Sure, I'll take them.

6 **DR. BREYSSE:** Jerry?

7 **MR. ENSMINGER:** No, I'm waiting.

8 **MR. TEMPLETON:** I've got a couple questions.
9 One, are we going to get a copy of that slide, with,
10 with the data?

11 **MR. FLOHR:** Yeah, it's here.

12 **MR. TEMPLETON:** Okay, perfect.

13 **MR. FLOHR:** I sent this to...

14 **MR. TEMPLETON:** Perfect. And the other question
15 on presumptives, is there some sort of a process or
16 method for adding additional conditions down the road
17 to, to the ones that are in presumptive?

18 **MR. FLOHR:** Of course. We can always add
19 additional diseases to the list, once we receive
20 evidence which -- showing there's some science to
21 support it, we can add -- we can create a new
22 presumption and add it to the list. Goes through the
23 whole process, like this one, though, going through
24 multiple levels of concurrence, going through OMB,
25 going everywhere. Everybody's got to approve it

1 before it gets finalized. But if there is new
2 evidence that we find that would support doing that,
3 yes we would do that.

4 **MR. TEMPLETON:** Okay, thank you.

5 **DR. ERICKSON:** Brad, can I just -- can I just
6 add --

7 **AUDIENCE MEMBER:** Excuse me. We're sitting back
8 here, and we can't tell who's talking. Is there --
9 can you hold up a sign or something so we know who's
10 talking?

11 **DR. ERICKSON:** I want to underscore something a
12 little bit. This is Loren Erickson. I want to
13 underscore something that Brad just said. Though the
14 presumptions of these eight -- these categories is
15 certainly historic, it was a long time in coming, and
16 we feel that it's a major step, it's a good step, the
17 book is not closed, okay? We will continue to work
18 with our partners at ATSDR, with others in the
19 community of medicine and science. We will continue
20 to gather information as we can, as it becomes
21 available.

22 The goal certainly is to refresh and update the
23 list, okay. Not that anything comes off the list. I
24 don't know that that would ever happen. But the idea
25 is that science, and Jerry Ensminger's exactly right,

1 it moves at a glacial pace, which can be very
2 frustrating, but it does move. And we do learn new
3 things, and we're looking very much forward to these
4 additional new studies, that Mike Partain just
5 mentioned, from the ATSDR because we think those are
6 going to further inform the policy changes that we can
7 make in the future. So I just wanted to emphasize
8 that. This, this rule that has now been published,
9 that takes effect in the middle of March, is, is a
10 starting point. It's a starting point only.

11 **DR. BREYSSE:** Lori.

12 **MS. FRESHWATER:** Thank you. I just wanted to
13 say, you know, I've been a member of the CAP for a few
14 years now. And this year -- I mean, this week was the
15 fourth year since my mother passed away. And I just
16 want to say thank you to the VA and to ATSDR because I
17 do hope -- I know how hard this has been. I know how
18 hard everyone has worked, and I think it's a cause for
19 everyone to take a step back and really appreciate
20 what was -- what kind of mountains moved here. And I
21 hope the public understands that having this kind of
22 justice is -- it took a lot for everybody. And I
23 really just want to say thank you. And I hope
24 everybody has had time to pat themselves on the back.
25 And I know we have a lot of work to do, and we're not

1 going to slow down on that. But I just am very
2 grateful to everyone, and I think my mother would've
3 been too.

4 **DR. BREYSSE:** We'll do Jerry, Danielle, and then
5 Mike.

6 **MR. ENSMINGER:** Brad, this is Jerry Ensminger.
7 Brad, you started out your brief there with the health
8 effects, and you said leukemias. Why did this final
9 rule have the designation of adult leukemia? I have
10 complained about this, and I see a possible confusion
11 in the very near future, where some of your reviewers
12 out there are going to say, oh, you have ALL. That's
13 not a -- that's not an adult-type leukemia, and deny
14 them. I made those comments during the comment
15 period, and nobody addressed that. Why? Why, why the
16 designation adult leukemia? One explanation I got
17 from the VA was that: Well, they didn't want somebody
18 who may have had leukemia as a child making a claim
19 for that leukemia that they had previously. Give me a
20 break. Anybody that had leukemia as a child is not
21 going to get in the damn military, okay? They
22 wouldn't be a veteran in the first place. So let's
23 get adult off of there, okay? Because, if I'm
24 correct, you're agreeing that this rule covers all
25 types of leukemia, correct?

1 **MR. FLOHR:** That's correct, Jerry. The
2 denominator adult came about through the concurrence
3 process, when someone wanted to have it in there to
4 ensure that it was for adults. I don't know why. It
5 doesn't make sense to me either. But it is for
6 leukemia that develops in veterans.

7 **MR. ENSMINGER:** Okay.

8 **MR. FLOHR:** Not in children.

9 **MR. ENSMINGER:** All right. We got that on the
10 record.

11 **MR. FLOHR:** That's, that's where adult came from.
12 Just I don't know, again, I'm not sure. It didn't
13 make sense to me either.

14 **MR. ENSMINGER:** Now, got that out of the way.
15 Scleroderma. The guidance Secretary McDonald gave to
16 ATSDR, in the meeting last July with Senator Isakson,
17 Senator Burr and Senator Tillis, was, when they asked
18 ATSDR to assist the VA in putting together a list that
19 would be covered by this presumption that he was going
20 to propose, and he asked ATSDR to assist the VA in
21 doing that. ATSDR issued a briefing paper which was
22 posted officially. It was peer-reviewed, and
23 Secretary McDonald's guidance was any health effect
24 that had moderate or sufficient evidence for causation
25 should be on that list. Scleroderma and end-stage

1 renal disease have the evidence. They've met the
2 threshold. Why were they dropped?

3 **DR. ERICKSON:** In the three years that I've had
4 with VA, I continue to be surprised at what I don't
5 understand about civics, from my high school civics
6 class. And what I share with you is more just
7 realization that there are frequently many more cooks
8 in the kitchen than I realized when it comes to
9 getting something like this to a final rule that gets
10 published.

11 Let me underscore that the ATSDR, as part of the
12 Department of Health and Human Services, has played a
13 unique and valuable role in providing us with the
14 science, with generating their own studies, with
15 contending with us on many of these scientific issues.
16 And yet it's not ATSDR or DHHS's role to make
17 presumptions. I'm building here; stay with me.

18 The agency known as Veterans' Affairs does have
19 the authority to make proposals for new rules. In
20 fact we drew upon the interactions we had with DHHS,
21 ATSDR, quite heavily. In fact we had multiple
22 meetings for several years, I now realize. And the
23 issues on things like scleroderma were in fact
24 discussed. You know, the science we -- I can't tell
25 you how many times we talked to Frank Bove in

1 particular. I mean, it was -- I think we had lots of
2 very good exchanges. And in fact we brought this
3 forward in a way that it was initially packaged, and
4 yet even we were not the final arbiters in this
5 regard. The Office of Management and Budget had
6 scientists as well, and has folks who were involved
7 with reviewing proposed rules. And the three of us,
8 ATSDR, VA and OMB also had discussions about what
9 should be in the list that gets published in the final
10 rule and where the line would be drawn. And I will
11 not satisfy you or anyone in this room that the line
12 was perfectly drawn, okay? I just -- I will tell you
13 that the discussions were that -- came to the point
14 where we certainly agreed on the eight that were
15 published. We feel very good about that, and we
16 went -- we went from zero to the Secretary talking
17 about three to finally publishing eight. And those
18 eight disease categories were not narrow, little
19 categories. They were in many cases very broad
20 categories. When it says the word leukemias, ALL,
21 AML, CLL, CML, I mean, all these leukemias. So eight
22 very broad categories, going from zero to eight for a
23 comparison-based exposure is truly historic.

24 Again, the book is not shut but in order to have
25 the rule published, when it was published, based upon

1 changes that were about to occur in Washington, D.C.,
2 we had to go with what we had. And again, the book is
3 not shut. Minds are not closed. There will be
4 additional opportunities to revisit some of these
5 things, in particular areas that were not in the list
6 of eight, but this was not totally under the control
7 of ATSDR, and this was not totally under the control
8 of Veterans' Affairs. And that's all I can tell you.

9 **MR. ENSMINGER:** Well, you just said a whole lot
10 but it was a whole lot of dodging. I mean, what you
11 just said you make it sound like ATSDR used all of
12 their studies and all of their internal information to
13 come up with this list. No, they didn't. Nobody does
14 that. You know that. The National Academy of Science
15 doesn't do that. They use studies that have been done
16 by people all over the world, and the studies that
17 they used to make that list were studies from all over
18 the world. And those studies showed at least moderate
19 evidence for causation for those two health effects.
20 And I asked why they got dropped. And now I'll ask
21 you, who dropped them? I want to know. The public
22 has a right to know who dropped these things off
23 there.

24 **MR. FLOHR:** Hey, Jerry, Brad. I don't believe
25 end-stage renal disease was ever on the list, so it

1 wasn't dropped. It wasn't added; it wasn't dropped.
2 Wasn't part of it.

3 **MR. ENSMINGER:** It's in the report.

4 **MR. FLOHR:** Not -- it was not --

5 **MR. ENSMINGER:** It's in that 69-page briefing
6 document that was published.

7 **MR. FLOHR:** That's a briefing document. It was
8 never in our regulation.

9 **MR. ENSMINGER:** I know that. That's what we've
10 been -- that was what the VA Secretary asked for, was
11 their recommendations for health effects to be
12 included, and they submitted that, that briefing
13 document.

14 **MR. FLOHR:** I know what he asked for. I was in
15 that room with Senators Burr and Tillis and Isakson
16 along with Pat. And they did a lot of work, and we
17 worked with them in putting this all together. And
18 when it came right down to it, it was looking at the
19 science and what was more likely than not sufficient
20 to propose a presumption. For example, bladder cancer
21 originally wasn't on the list.

22 **MR. ENSMINGER:** Yeah, I know.

23 **MR. FLOHR:** And we added it subsequently to that.
24 That's the way this has worked out. Some things will
25 be added, some science, when looking at it more

1 closely, may not show that it's sufficient at this
2 time. Doesn't mean it won't be in the future. In
3 other words, we've got another really good study on
4 scleroderma that was very supportive; we can always
5 add it. But at this point we just, just couldn't.

6 **DR. ERICKSON:** One of the requirements that OMB
7 had was that those studies, those manuscripts that
8 would be considered in justifying the final rule
9 hadn't been published. And the question is when was
10 that document published by ATSDR?

11 **MR. ENSMINGER:** Well.

12 **DR. ERICKSON:** Okay, you mentioned the six --

13 **MR. ENSMINGER:** The briefing document?

14 **DR. ERICKSON:** No, the six -- the 60-page
15 document that you said ATSDR, when was it published?

16 **MR. ENSMINGER:** Well, it was given to VA last
17 September.

18 **DR. ERICKSON:** Okay, it was not published until a
19 week ago.

20 **MR. ENSMINGER:** Yeah, it was published this past
21 week.

22 **DR. ERICKSON:** Okay, again, OMB's requirement was
23 that they would only look at published materials.
24 Now, that's not to say that it didn't influence VA,
25 but in terms of influencing OMB, it had not been

1 published at the time OMB was the gatekeeper.

2 **MR. PARTAIN:** No, not about the 2015 IOM report
3 that was given to y'all, where kidney disease, there
4 was language in there that said that the veterans
5 should be given recommended -- the recommendation was
6 made that veterans should be given the benefit of the
7 doubt. That was a report that you guys commissioned,
8 and received back, and that was published.

9 **DR. ERICKSON:** Okay, so this particular report,
10 commissioned by VA for the Institute of Medicine, now
11 called the National Academy of Medicine, was for them
12 to review our clinical guidelines which describe how
13 in fact we would view the execution of the 2012 Camp
14 Lejeune law. So this was not related in any way
15 directly, underscore the word directly, to the writing
16 of presumptions.

17 **MR. PARTAIN:** And, you know, I cannot speak for
18 ATSDR, and I don't mean to intercede on Jerry's behalf
19 here, but this report that ATSDR has now published was
20 given to you guys in the spirit of trying to cooperate
21 to get this done, and it just seems like the job keeps
22 shifting and the criteria changes. I've never heard
23 of this requirement that it has to be published.
24 Maybe that should've been informed to the CAP so we
25 could ask Congress to put some pressure on ATSDR to

1 publish this list, 'cause we were asking for it.

2 **MR. ENSMINGER:** Well, it wasn't the ATSDR.

3 **MR. PARTAIN:** I understand that. But I'm just
4 making the point that it just seems like the criteria
5 is shifting here, Dr. Erickson. And you know, this
6 document was created by ATSDR and reviewed studies and
7 everything to assist you guys in developing the
8 presumption list. And you know, kidney disease is
9 listed on page 100 of the document. And, you know,
10 there is -- you know, there is evidence for that, and
11 in corroborating with the IOM report, and yet kidney
12 disease was left off the presumption list.

13 **DR. BREYSSE:** So if I can jump in. So we at
14 ATSDR support the VA in their movement to provide
15 compensation for these eight conditions. We also have
16 agreed to support the VA in the future by providing
17 evidence as new studies emerge to help them inform any
18 future decisions about compensation. And we will be
19 revisiting these conditions in the future, as we think
20 the evidence changes or if there's anything stronger
21 that we can put on. But I'd like to make sure we move
22 on, through fairness, to Danielle who's had her tent
23 up for a while.

24 **MS. CORAZZA:** I'm going back to the numbers that
25 you showed. I just had a question. The 1,430 claims

1 that are stayed, they are all one of these eight
2 conditions?

3 **MR. FLOHR:** Yes.

4 **MS. CORAZZA:** Okay. And then the 4,749 claims
5 that are pending, that's just a hodgepodge or that's
6 also the eight --

7 **MR. FLOHR:** Hodgepodge.

8 **MS. CORAZZA:** Okay. So if it's there and
9 assuming everything goes well in March, is there a
10 goal for getting the 1,400 pushed through?

11 **MR. FLOHR:** It will be done immediately. They
12 will start processing those claims right away.

13 **MS. CORAZZA:** Okay, thanks.

14 **DR. BREYSSE:** So were there other presentations
15 you guys had hoped to make, or as we move the
16 discussion forward I want to make sure we get
17 everything covered in the time we have allotted.

18 **DR. ERICKSON:** Brady White has some update on the
19 Camp Lejeune family member program, with some new
20 numbers that we'd like to show the CAP.

21 **DR. BREYSSE:** Okay. So should we move on to
22 that, and then we'll carry on?

23 **MR. PARTAIN:** Dr. Breysse, I do have another
24 thing. I didn't get my question.

25 **MR. ENSMINGER:** I find it strange that we have an

1 agency here that was created and mandated by Congress
2 to investigate human exposures, and study them, at
3 Superfund sites, who gives basically not medical
4 advice but exposure -- their professional exposure.
5 And basically what you're saying is that anybody up
6 the chain can just take that and say, well, yeah or
7 no. Doesn't sound right to me. That's why people get
8 angry at government.

9 **DR. BREYSSE:** Mike.

10 **MR. PARTAIN:** Yeah, my question, there were
11 diseases that were left off the list, and, you know,
12 diseases that we are seeing. And I do understand that
13 science does have to progress. You know, at some
14 point in time, you know, the CAP, which we're the
15 community representatives for Camp Lejeune, for ATSDR,
16 but there's going to be a time that we're not going to
17 be here to voice opinions to, you know, to challenge
18 what the VA has said. And I've noticed that
19 there's --

20 **MR. ENSMINGER:** Or OMB.

21 **MR. PARTAIN:** -- or OMB, or whoever, you know,
22 says something. Cancers such as male breast cancer,
23 prostate cancer, esophageal cancer, you know, these
24 are things that we are seeing at Camp Lejeune. I
25 mean, like I mentioned before many times in the past,

1 we had the single largest male breast cancer cluster
2 that's ever been identified, at 105 men. We have a
3 study from ATSDR showing a suggestion that there is a
4 possible early-onset of male breast cancer due to the
5 exposure at Camp Lejeune.

6 My question to the VA is, you know -- and also
7 too we have the public health assessment, the revised
8 public health assessment, which now shows, from ATSDR,
9 that there was indeed a hazard to expose -- exposure
10 to contaminated water at Camp Lejeune. My question to
11 the VA though is how are we going to address those
12 cancers who, like for example, male breast cancer,
13 renal, esophageal cancer, adrenal cancer, rare cancers
14 that there are associations to the solvent exposure,
15 but there's really not enough people who have come
16 down with the disease to do studies or there's just
17 not enough studies done, as in the case with male
18 breast cancer. There's just a few studies that have
19 been done on it. How does the VA propose to address
20 that? Are you guys going to leave the SME process
21 that you implemented in beginning of January 2013 in
22 place? And what involvement is the public going to
23 have now? I mean, is there going to be any type of
24 dialogue to the community, so we can address these in
25 the future? I mean, what's the plan?

1 **DR. ERICKSON:** You know, the plan is I tried to
2 say -- and just -- I want to make sure everyone
3 understands this. So this is an ongoing process. The
4 partnership with ATSDR is ongoing. And just for
5 everyone's sake, it doesn't only involve Camp Lejeune.
6 It involves other exposures at other locations and
7 other populations. This is a growing area of
8 collaboration for us. And again, we value that
9 relationship.

10 I think as it relates to the science, I think my
11 colleague Frank Bove spoke to this at an earlier
12 meeting. This, this question of how, how do you deal
13 with the really rare diseases? You know, what would
14 be those study designs? I mean, there are case
15 control studies. There are a few different methods
16 that can be used. But Mike, you'd be making an
17 excellent point, that those particular diseases can be
18 more difficult to study. There can be techniques, the
19 use of particular statistical methods that will allow
20 you to look at rare events, et cetera, but it's going
21 to take an ongoing effort, ongoing effort as DoD works
22 with ATSDR to complete the current studies, maybe to
23 do additional studies. It'll take an ongoing effort
24 as we work with ATSDR to see what else is being
25 published.

1 Part of that collaboration and part of, I think,
2 the challenge for us -- and when I say us, I'm talking
3 about the team that is in this room, the public,
4 ATSDR, VA, DoD -- is to identify what are those
5 remaining gaps? What are those areas that we want
6 answers for and how, how -- if we have to prioritize
7 those within certain constraints, in that we can't
8 study everything all at once with unlimited resources.
9 But I think one thing the CAP has been particularly,
10 you know, productive in helping us with is to focus a
11 lot of efforts. I can't speak for ATSDR, but I'm
12 guessing that you guys would say amen to that.
13 Certainly the CAP has helped us.

14 I will tell you that the presence of four members
15 of Veterans' Affairs here at this meeting is evidence
16 of a commitment that we made to the Community
17 Assistance Panel. We're not summoned to come here.
18 We don't have an obligation to come here. We come
19 here as invited guests. But we are invited to be a
20 part of that team to find those solutions. And again,
21 it's frustrating that things don't happen as quickly.
22 It's frustrating to individual veterans and family
23 members when perhaps their particular health issue has
24 not been addressed with -- addressed as quickly as was
25 hoped, but I can tell you that, you know -- as a

1 reminder, I'm a veteran myself. I served 32 years of
2 active duty, went to war multiple times. I myself
3 grew up in base housing at a number of military bases.
4 My own children grew up in base housing. Now, not at
5 Camp Lejeune because I was Army. But I get the
6 outrage, okay? I understand the deep emotional
7 concerns that are going with this. And yet it's our
8 task to work through the science in a comprehensive
9 way so that those rules that are made, those -- all of
10 the decisions that are made are truly evidence-based,
11 okay, are truly supported.

12 And again, to work with ATSDR is a privilege.
13 It's an opportunity for us to pull upon the best and
14 brightest who work in environmental health. And yet
15 to realize that it's a broader team than just ATSDR
16 and VA. It's a number of us that are involved.

17 **DR. BREYSSE:** We need to move along, but Jerry.

18 **MR. ENSMINGER:** Yeah. Yeah, I don't mind you
19 guys coming up with something like a subject matter
20 expert program, but for God's sake, if you're going to
21 call them subject matter experts hire subject matter
22 experts, because the evidence that we've got, these
23 people are anything but subject matter experts, the
24 lion's share of them. Hardly any of them are trained
25 in environmental exposures. They didn't even major in

1 that, and they're not certified for that. Most of
2 them are family practitioners. And when you've got
3 people that say that they have reviewed all the meta-
4 analysis for two decades' worth of well-conducted
5 scientific studies and can find no evidence that TCE
6 causes any kind of cancer, let alone kidney cancer,
7 and denies two kidney cancer claims, with that
8 rhetoric in it -- he didn't deny them, but that was
9 his opinion, and the SME was never overruled by any
10 claim reviewer I've seen. And that was in 2015. TCE
11 was re-evaluated to be a known human carcinogen in
12 2011 and '12, by IARC and the EPA. And the strongest
13 evidence for reclassifying it as a known human
14 carcinogen was for renal cell carcinoma. Kidney
15 cancer, for God's sake.

16 **DR. ERICKSON:** So Brad, you may want to respond
17 to this as well. Again, we went from zero
18 presumptions to three, that the Secretary mentioned,
19 to eight that were published.

20 [Multiple speakers]

21 **DR. ERICKSON:** I'm getting there. I'm getting
22 there. So the fact is this is historic. And this is
23 the point I want to come to, Brad. The fact that we
24 now have eight disease categories that are service --
25 that are presumed for service connection, actually

1 changes the pathway for those claims, as it relates to
2 SMEs.

3 **MR. FLOHR:** Yeah, I wanted to respond to what
4 Mike mentioned as well, but briefly, let me give you
5 what it takes for service connection, for someone to
6 be determined to be service-connected. There's three
7 things, basically. One, there has to be evidence of a
8 disability. Two, there has to be evidence of
9 something in service: an injury, a disease, or in
10 this type of situation, an exposure. And then the
11 third element, which is the most difficult, is getting
12 a medical nexus, or a link, from the medical
13 profession between what the current disease is and
14 what occurred in service.

15 We have a number of presumptions. We have 21
16 cancers presumed for radiation exposed veterans,
17 atomic veterans, who were at the nuclear tests and
18 places like that. We have presumptions for prisoners
19 of war. We have presumptions for mustard gas. We
20 have presumptions for Gulf War. We have lots of
21 presumptions. What the presumption does, basically,
22 is eliminates that last requirement, the third
23 requirement, of having to provide medical link.
24 That's what Camp Lejeune nexus does as well. It
25 removes the requirement that there be positive

1 evidence of an association medically. It's presumed
2 that it is. And as Loren said, we'll look at any --
3 all and any new studies, and if it looks like there's
4 good evidence to support adding to the list, we do so.
5 That's what we want to do.

6 **MR. ENSMINGER:** Yeah, but we've got veterans who
7 are submitting doctors -- from their oncologist to the
8 VA in support of their claims, and the subject matter
9 experts are overruling them, and the guy has his -- is
10 certified as a family practitioner.

11 **MR. PARTAIN:** Not only are they overruling them,
12 they're actually challenging and writing these doctors
13 to have them explain why they wrote their letter in
14 the first place.

15 **DR. BREYSSE:** If I can jump in, so this is --
16 obviously this is an issue we've reviewed at, I think,
17 every CAP meeting since I've been associated with it.
18 So this -- it's obvious there's ongoing concern about
19 the appropriateness of the subject matter expert
20 review that you're hearing from the CAP. And it
21 certainly is in everybody's interest to make sure that
22 the subject matter experts utilize the best scientific
23 evidence in making their decisions. And a decision
24 that's based on a conclusion that there's no evidence
25 of cancer from some of these chemicals is probably not

1 the best scientific available information. So I don't
2 think we're going to get this any further today, but I
3 think what you hear is there's still ongoing concern
4 about that process. And I assume that process now is
5 going to apply to diseases that fall outside of the
6 presumption of service based on the rule. So I think
7 that's --

8 **MR. FLOHR:** And you're right. That's --

9 **DR. BREYSSE:** That concern you hear from the CAP
10 is going to persist, and, you know --

11 **MR. FLOHR:** What I can also say is that this
12 whole process came about when we first briefed the
13 Shinseki study on Camp Lejeune. We decided this was
14 such a topic that it needed to have one office do
15 claims processing. Louisville was selected. And
16 after they started working claims, a group of people
17 from VA went there to review the decisions that have
18 been made, and they found what they felt were
19 inconsistencies in one case versus another, when the
20 evidence was pretty much the same. And that's when
21 they created the subject matter expert. Is it the
22 best? Who knows? Again, Secretary Shinseki's plan,
23 when we brief the new Secretary on Camp Lejeune, and I
24 assume we will at some point, and he may decide we
25 need to do something else. So we'll see what happens

1 there.

2 **MR. ENSMINGER:** It's like I said when I started.
3 I don't have a problem with you having a subject
4 matter expert but I don't want Ernest T. Bass being an
5 expert.

6 **DR. BREYSSE:** Okay, Lori?

7 **MR. FLOHR:** I will review that.

8 **MR. PARTAIN:** So safe to say that the SME process
9 is going to remain for non-presumptive service-
10 connection patients?

11 **MR. FLOHR:** Right, for the time being anyway.

12 **DR. BREYSSE:** I'm turning to Lori now.

13 **MS. FRESHWATER:** So my concerns all along are
14 with transparency with the SME program. You know,
15 'cause we've been doing this a while. So for those
16 conditions that fall outside of the presumptions, with
17 the SME program have there been any changes,
18 improvements to transparency? Are we going to be able
19 to have any access to who is making the decisions and
20 the SMEs? 'Cause I really believe that that would be
21 the kind of key to all of this, is just so people
22 could know who is making these decisions, and if we
23 could -- you know.

24 **DR. ERICKSON:** Yeah. So I'm going to make an
25 introduction here. This is Dr. Alan Dinesman, and he

1 was with us at the last meeting. Yes, I thought you
2 were. And he, he works, and he helped set up the
3 office that does disability medical assessment. And
4 just to make it clear for everybody that's here, with
5 the presumptions taking effect the middle of March, a
6 Camp Lejeune veteran who qualifies, according to the
7 way the rule is written, for one of those eight
8 diseases, are essentially fast-tracked through that
9 claims process, okay. In other words, if the SME
10 process is not sufficiently transparent, is not
11 sufficiently accurate, whatever the concern is, at
12 least for these eight broad categories, you know,
13 that, that is not an issue. The SME issue is not for
14 these now. The presumption actually makes it easier
15 for the claims.

16 But as it relates to your concerns about
17 transparency, we were going to save this for the
18 due-out portion, but as is oftentimes the case, we
19 sort of meld the VA update and the due-outs, and so
20 Dr. Dinesman came prepared to talk about that, so it
21 sounds like we probably need to move to that right
22 now. And then we'll have Brady White talk about the
23 family member program.

24 **MS. FRESHWATER:** Talk to Dr. Breyse.

25 **DR. BREYSSE:** Would that be your preference, to

1 do it now or would you rather wait?

2 **DR. ERICKSON:** Well, I mean, the -- you know, the
3 griddle's hot, I mean.

4 **DR. DINESMAN:** There is a slide set. Do you have
5 that? I'll go ahead and just speak to it. But there
6 was a question about the SME training program.
7 Understand first that all of the clinicians who are in
8 the SME training program are C&P-certified. What that
9 means is that they have been trained to look at
10 disability cases, to work through the various aspects
11 of reviewing literature and how to address the, I
12 guess, medical/legal aspects of what we see with VA
13 disability claims. So they are used to giving medical
14 opinions. That's a general duty of all of the SMEs
15 that we have, whether they be Camp Lejeune SMEs or
16 just a compensation and pension examiners themselves.

17 We do have formal training sessions. Our last
18 formal training session was in July. It takes place
19 generally at Louisville, in conjunction with the
20 regional office there. We have -- the last one in
21 July was a four-day process where the first part of it
22 was didactic training. And it was -- the didactic
23 training is general principles.

24 You know, Camp Lejeune is an important topic but
25 the environmental exposures themselves, as the general

1 topic, is what is discussed, you know, how to look at
2 environmental exposures. We discuss things such as,
3 you know, dosage, exposure time and how to go ahead
4 and review some of the literature that's available.
5 We go through kind of superficially some of the
6 literature. We ask that the SMEs actually read
7 through it on their own time, but we do go through
8 some of the studies, just to kind of give some
9 background. Again, these are individuals that are
10 used to giving opinions, that are used to reviewing
11 medical literature, and so we're not there to train
12 somebody, and say, well, here is how you answer
13 something. But just like any other expert opinion,
14 here are the tools, and we'll provide them those tools
15 that they can use to get started on it.

16 And then the last part of the training is
17 actually hands-on experience. We do work together and
18 review some cases, get a chance to discuss them and
19 look over the cases as a group, and to kind of discuss
20 the different thought processes.

21 **MR. ENSMINGER:** Well, and I got a question for
22 you. Rather than calling these people subject matter
23 experts -- when you tell me that you're having the
24 whole training sessions while these people have been
25 anointed as so-called subject matter experts, if

1 you're training them, they're not subject matter
2 experts in anything. You know, he --

3 **MS. FRESHWATER:** Jerry, can I -- I don't want my
4 question to get lost. Can we just go back to the
5 transparency issue? Can you directly address how --

6 **MR. ENSMINGER:** What the hell?

7 **MS. FRESHWATER:** -- any changes you've made about
8 transparency? Because I didn't hear any of that.

9 **DR. DINESMAN:** What do you mean by transparency?
10 What are you looking for?

11 **MS. FRESHWATER:** Well, people should have a right
12 to know who the --

13 **MR. ENSMINGER:** Who these guys are.

14 **MS. FRESHWATER:** -- subject matter expert is.
15 People should have a right to know what went into
16 these decisions. We've had a lot of trouble not being
17 able to even get FOIA requests because of -- you know,
18 I'm not going to be getting into all of that, but so
19 are there any plans on trying to be more transparent
20 about SMEs and who's making these decisions? I
21 understand we can move all of the training issues and
22 all of that to the due-outs, if you want, but I would
23 like that direct answer about transparency, and why,
24 if you are not going to let people know who the SME
25 is, what is your justification for that?

1 **MR. PARTAIN:** And to tag onto Lori, and the whole
2 issue of transparency, and I appreciate you trying to
3 put the SME issue into a nutshell and describe what it
4 is, but it's dressing on a cake that's not quite
5 right. We've had to file a FOIA lawsuit with Yale Law
6 School to get information about the SME program, and
7 we're starting to get the documents from that and go
8 through them. There are templates for SMEs to follow
9 that -- for particular conditions. There are things
10 that we're seeing in there, like one of those slides
11 discusses how the purpose of the program is to create
12 a legally defensible claim. And this is stuff that's
13 not new to you guys. We've talked about it at other
14 CAP meetings and what have you.

15 The problem remains, like Lori is saying, the
16 heart of the issue is there is no transparency. It's
17 forced transparency. And I'm a graduate student at
18 the University of Central Florida, working on my
19 master's thesis. If I was to go to Wikipedia and cut
20 and paste a Wikipedia entry into something that I
21 wrote for the university, I would be expelled from the
22 program and humiliated, and probably never ever be
23 able to try to seek a master's degree again, yet we
24 have an SME who did that for a veteran in Atlanta. We
25 have SMEs with conflict of interests. We don't see --

1 we don't know who they are in the files. And this is
2 all, like I said, been addressed in the past. And
3 rather than go through and gloss over the program,
4 what it's doing, what have you, let's cut to the chase
5 and get the answers, 'cause we've got a lot to talk
6 about today, you know, and we're burning some time
7 here.

8 **DR. BREYSSE:** Response to Lori?

9 **DR. DINESMAN:** Yeah, as far as the reports, the
10 SME's name is on the report, and that is really, as
11 far as what is supposed to be reported, is, you know,
12 what we're able to do.

13 **MS. FRESHWATER:** Is that all of the claims?
14 Because I had seen claims where the SME's name was not
15 included.

16 **MR. ENSMINGER:** Yeah, that's true.

17 **MS. FRESHWATER:** I mean, I've seen that myself.

18 **DR. DINESMAN:** Yeah, I'd be happy to look at some
19 individual cases with you.

20 **MS. FRESHWATER:** Will you make the commitment
21 that, going forward, all SMEs' names will be on all
22 denials?

23 **DR. DINESMAN:** The SME name should be on every
24 single report. I've looked at -- you cannot --

25 **MS. FRESHWATER:** So I just -- I'm sorry, I just

1 really -- like --

2 **DR. DINESMAN:** No, please.

3 **MS. FRESHWATER:** -- I don't agree with -- really,
4 you know.

5 **MR. PARTAIN:** Maybe it's on the report, but is it
6 getting into the veteran's files so the veteran can
7 see this SME report?

8 **MS. FRESHWATER:** No. What I want to know is, if
9 you are making a commitment that every veteran who has
10 a claim denied, will they be able to absolutely know
11 the name of the SME who worked on their claim?

12 **DR. DINESMAN:** So the only way I can answer
13 that -- I mean, it should be. When the SME does their
14 opinion, they sign the form. The form has their name
15 on it. Now, what happens after they sign that form,
16 electronically in the records, as far as I know, it's,
17 at least all the reports I've looked at, that I've
18 done through the years, as a compensation of pension
19 --

20 **MR. FLOHR:** It returns to Louisville, who
21 requested the opinion. And then it goes in the
22 veteran's claims file. It's available electronically,
23 now that all our claims are electronic.

24 **MS. FRESHWATER:** So people who have been denied,
25 and who would they go to in order to find out the name

1 of their SME now? If they've been denied and it
2 doesn't -- it does not include the name, who should
3 they go to in order --

4 **MR. FLOHR:** Most likely the medical opinion would
5 not be sent to the claimant with the denial letter.
6 So they would have to just -- they could ask, you
7 know, for a copy of the opinion from Louisville.

8 **MS. FRESHWATER:** Okay, so, so going forward,
9 everyone who -- in the past who has been denied and
10 everyone in the future who is denied has that basic
11 right to know -- because that's what I'm talking
12 about --

13 **MR. FLOHR:** Absolutely, yes.

14 **MS. FRESHWATER:** -- with transparency.

15 **MR. FLOHR:** Of course.

16 **MS. FRESHWATER:** Because then, that person can
17 say, well, my oncologist has this experience, put up
18 against this SME when they appeal, right?

19 **MR. FLOHR:** Well, the oncologist, hopefully that
20 report was submitted with the claim and not at a later
21 date, but they can always submit new evidence, if they
22 have a new oncology report. Always that reopens a
23 claim with new evidence.

24 **MS. FRESHWATER:** But it's hard. It's hard for a
25 veteran because most often they don't have money to

1 hire their own subject matter expert --

2 **MR. FLOHR:** I understand.

3 **MS. FRESHWATER:** -- as we've discussed over and
4 over, so if they at least know who it is that -- you
5 know. And just as a matter of principle, I think that
6 anyone who is having a life or death decision made,
7 oftentimes it's life or death, I think that person
8 should absolutely be able to know who's making those
9 decisions. And I think that would cut out a lot of
10 friction between the community and the veterans and
11 the VA, and, you know, it's always better to be open.
12 And I think it would be helpful going forward. So
13 thank you very much.

14 **DR. BREYSSE:** It sounds like a commitment to make
15 that happen.

16 **DR. DINESMAN:** It is. And if I could also make
17 just one comment on the specialty issue that is
18 described in here. You'll say that so and so may have
19 a report from an oncologist that says that there is an
20 association. As a word of advice on these, for moving
21 forward on some of these, a person's credentials do
22 not always mean that they're able to provide an
23 opinion that is well-supported. And so it's important
24 that, if -- let's say this oncologist is talking
25 about, saying, well, I believe this person's cancer is

1 due to, you know, an exposure, they need to be able to
2 put down the scientific justification for that.

3 **MS. FRESHWATER:** Correct.

4 **DR. DINESMAN:** So just because --

5 **MS. FRESHWATER:** I'm just asking for a little
6 transparency, right? You know? I mean, I'm not -- I
7 don't think that there's going to -- I don't think we
8 all have time to get into whether or not, you know,
9 the oncologist has this or not, you know. We have two
10 meetings later in the day, that I think will be
11 allotted time for that kind of thing. But what I want
12 is a level playing field. I want transparency so that
13 they know who it is making these decisions. I'm a
14 journalist. I want to be able to look into this
15 person as far as their qualifications. I don't want
16 to have to -- well, I mean, if you hide something, and
17 I'm not making accusations, saying you're hiding
18 anything, but if that's the way it feels, then people
19 are going to, then, make an assumption, they're going
20 to have a feeling that something not good is going on,
21 right? Where if there's transparency, people tend to
22 be able to say, okay, well, at least we know what
23 we're dealing with here, and we can go forward on an
24 appeal or what have you.

25 **DR. DINESMAN:** Makes sense.

1 **DR. BREYSSE:** Thank you, Alan. Maybe we should
2 move on to Brady, make sure we have time to get that.

3 **MR. WHITE:** My name is Brady White, and I'm the
4 program manager for the family member side of the law.
5 I actually don't have slides. The CAP is familiar
6 with most of the slides. I do have them available.
7 We're going to be going over them in the meeting
8 tonight, okay.

9 First I want to thank the veterans and their
10 family members that are out in the audience. Thanks
11 for being here. I know you're going to get a chance
12 to ask questions later on, but as you know, tonight
13 we're going to have more of a public town hall
14 meeting. And at that meeting we're going to have
15 somebody from the health eligibility center, who's
16 going to be able to answer any specific veteran
17 questions you may have. I'm also going to have
18 somebody that we can contact for any family members,
19 that has a question about their application or their
20 claim, okay? So keep that in mind. So as everybody
21 knows, we cover the health benefits for family members
22 for treatment of one of these 15 conditions.

23 Just real quickly, for veterans to qualify for VA
24 healthcare, they do not have to have one of the 15
25 conditions, nor do they need to have a service-

1 connected condition in order to qualify for health
2 benefits. Okay, so that's very important to keep in
3 mind.

4 On the family member side, there's basically two
5 big buckets we need to verify. We need to make sure
6 that the family member was a dependent of the veteran
7 and that they resided at Camp Lejeune for 30 or more
8 days during that covered time period. Okay, that's
9 what makes them administratively eligible for the
10 program.

11 Just want to highlight some new numbers for you
12 guys. As of December 31 of last year we've provided
13 healthcare to 39,123 veterans. 2,749 of those were
14 treated specifically for one of the 15 conditions.
15 And we treated 249 of those veterans for just the last
16 fiscal year. And we've gotten some specifics for how
17 they break out as far as those 15 conditions, and we
18 can see those later on tonight.

19 For the family members, we've provided
20 reimbursement for care. Remember, we provide the
21 payment of benefits after all other health insurance,
22 okay? So we, out of all the veteran -- or the family
23 members that applied and got accepted, 243 of those
24 are actively using the program, that we're providing
25 benefits for. I've got 1,731 that actually applied

1 for the program, and 511 were deemed ineligible, and
2 primarily because we couldn't show the resident at
3 Camp Lejeune, we couldn't prove a dependent
4 relationship or the veteran criteria didn't match.

5 So that's kind of highlights. We'll be going
6 over some more later on this evening. At this point
7 does anybody have any questions about the family
8 member side of the program?

9 **DR. BREYSSE:** Thank you, Brady. I just want to
10 remind everybody, so there will be a couple of
11 opportunities tonight that you should take advantage.
12 One there will be an open availability session where
13 you can interact with the VA or ATSDR people one-on-
14 one. And then there'll also be the public meeting,
15 where you can explore any of these issues in a more
16 question-and-answer format as well. So the whole day
17 is designed to make sure that you guys have as much
18 opportunity to get your questions answered and your
19 service opportunities explored as possible. With that
20 I'll come to the questions from the panel? I notice,
21 Chris, you have your sign up?

22 **MR. ORRIS:** Yes. Thank you, Dr. Breysse. Brady,
23 good morning. Thank you for being here today. You
24 know I have several questions. One of those being I'm
25 looking at ATSDR's list of conditions that they issued

1 strong evidence for causation, and in going through
2 all of that every single one of those conditions is
3 now a presumptive, or will be soon, at the VA, except
4 for one. Now, I know a veteran cannot be born with a
5 congenital heart defect, but ATSDR, it's pretty much
6 established science, that congenital heart defects
7 were caused by exposure to the water at the base.
8 However, no single child that was born with a
9 congenital heart defect at the base is eligible for
10 the family member program. Of all that science,
11 explain to me why.

12 **DR. ERICKSON:** Yeah, Chris, thanks for your
13 question. Thank you for being such a strong advocate
14 for, for the families in this regard. Chris and I
15 were speaking a little bit earlier, and so I'm very
16 glad that you had a chance to ask your question in
17 public.

18 Allow me to speak broadly and then focus down
19 directly on your question here. Veterans of all
20 cohorts are concerned about the effects on their
21 families. These intergenerational and multi-
22 generational effects, or effects that would've
23 occurred directly to family members. And to that end
24 Veterans' Affairs is working very hard right now with
25 other federal agencies, with the national academies,

1 to try and develop a roadmap, a research framework,
2 which will allow us to more effectively look at those
3 issues.

4 I will tell you that the Veterans' Affairs has
5 entered into two new contracts with the national
6 academies, one for the next Agent Orange study, which
7 will have a major chapter on multigenerational
8 effects; also the next goal for a health study is in
9 fact almost nearly entirely dedicated to multi-
10 generational effects.

11 And you say, well, what does this have to do with
12 this, this issue right here? And the connection is
13 that the science, the laboratory science, the new
14 technologies, et cetera, are mentioned quite a bit.
15 Most of us will hear words like epigenetics and
16 talking about DNA, et cetera, and yet it's not always
17 clear exactly what is the application and how do you
18 trace what would be an effect on a developing child,
19 whether it be direct exposure in utero, while the
20 mother's pregnant, or an effect that would occur that
21 would be handed down in the genes.

22 And in fact the national academies is going to
23 give us what we've asked for, we hope, within two
24 years. So that would be the framework, a research
25 framework, a roadmap, which will enable us to

1 designate which part of the federal government, I
2 suspect it will be the National Institutes of Health,
3 will actually have the lead for nailing this down,
4 because they of course do genetics work, et cetera.
5 But what would those studies look like? How long
6 would they take? What technologies would they apply?
7 And so that's one thing. So I want you to know VA,
8 we're on the case, we're working the issues broadly
9 'cause that's a big issue for all the veteran cohorts.

10 Now, specifically for Camp Lejeune and for Camp
11 Lejeune family members, the current authorities given
12 to the Secretary of Veterans' Affairs are limited to
13 veterans. The current authorities are limited to
14 veterans unless Congress provides some other
15 additional authority. So Brady was just talking about
16 one authority under the 2012 law that allows Veterans'
17 Affairs to be in essence an insurance company for
18 family members at Camp Lejeune, okay, a last payer. A
19 very circumscribed, narrow authority that was given to
20 the Secretary.

21 Another very narrow authority that relates to
22 descendants of family members is the spina bifida
23 program for Agent Orange. But that is, that is the
24 limit. Those are the only small areas that the
25 Secretary can currently work in legally, that he's

1 authorized to work in legally. So the solution to
2 broadening the aperture on the 2012 law, to include
3 things such as congenital heart defects for children
4 who had been at Camp Lejeune, the solution set is to
5 be found with the legislative branch, because, again,
6 the Secretary doesn't have that authority. Do we have
7 in the audience any Congressional staffers for any
8 members of Congress? Is there anyone here
9 representing? So I know at some of the meetings we
10 can sometimes get people.

11 **MR. ORRIS:** I personally invited Walter Jones.

12 **DR. ERICKSON:** Okay.

13 **MR. ORRIS:** Who is a Congressman from this
14 district, but he's not here.

15 **DR. ERICKSON:** Okay. But that's one of the
16 solutions. I will tell you that in another week I and
17 some others will be meeting with some Congressional
18 staffers, to talk about multi-generational effects.
19 And one particular area is, and I'm really surprised
20 it didn't come up in the questions yet, so I'll throw
21 it out, is dealing with the disconnect between the 15
22 conditions that are in the 2012 law, that include the
23 family members, and now the list of eight that are in
24 the presumptions. Okay, there are some overlap,
25 there's some difference. Veterans' Affairs is not in

1 a position to rectify the disconnect because, again,
2 our Secretary does not have the authority to change
3 what are the benefits for the family members, but
4 Congress can make that change.

5 **MR. ORRIS:** So, so just as quick clarification,
6 you know, ATSDR has stated that there is a strong
7 causation for congenital heart defects -- and
8 especially when we're here in Jacksonville, this is
9 where these babies died. You know, the cemeteries
10 around here are full of Camp Lejeune babies. And
11 there are a lot of them living. You know, myself, I
12 was born at the base. I have a congenital heart
13 defect. And when I talk with other people, and it's
14 very hard to explain that disconnect, saying that, you
15 know, no, there is no help. You will not get
16 assistance with your copayment. There's nothing out
17 there for any child who was born at the base with a
18 congenital heart defect because -- why? The science
19 is there.

20 And you talked about studies. The studies have
21 been done. What is the VA -- specifically, Brady,
22 what is your department doing to rectify the
23 situation? You can go to the Secretary and ask for
24 more authority. You can try to get the regulations
25 changed yourself. What is your agency doing to make

1 sure that you're providing care for everybody that was
2 affected at the base?

3 **DR. ERICKSON:** So just want to correct an error
4 here. Brady certainly will talk to the Secretary. I
5 can go with him. We could have multiple people in the
6 room with the new Secretary. The Secretary would not
7 have the authority to change the 2012 law.

8 **MR. ORRIS:** But he could ask Congress for that
9 authority.

10 **DR. ERICKSON:** Yes, yes. But everyone in this
11 room can do that as well. You see, that's sort of the
12 message I'm giving everybody here, is that it is an
13 issue. The voice from VA can be one of the voices
14 that raises it as an issue, the same way that we're
15 raising the disconnect between the list of 15 and the
16 list of eight. But ultimately the solution set is
17 found in new legislation that will update the 2012
18 law.

19 **MR. ORRIS:** But we can't even get the Congressman
20 for this district to show up at this meeting. How are
21 we going to do anything on the legislative side for
22 that?

23 **MR. PARTAIN:** And thank you, Chris, and in
24 fairness to a vet, that is something that the
25 community, we need to do with our Congressional staff.

1 And I do believe Senator Burr, and I'm not -- I think
2 maybe Senator Tillis's staff will be here tonight.
3 Jerry was in contact with them.

4 **MR. FLOHR:** That's what I was going to say, Mike.
5 Senators Burr and Tillis have been (indiscernible).

6 **MR. PARTAIN:** So but the Congressional offices
7 have been following this, but Chris's point, we need
8 to get together on that. And we need to -- and also
9 you guys at the VA, if you see a gap or something like
10 that, feel free to speak up too. I think that's what
11 Chris was trying to say.

12 **DR. ERICKSON:** Lori.

13 **MS. FRESHWATER:** Yeah, just a reminder and, you
14 know, going on what you're saying, it's not just North
15 Carolina Congressional staffers and people, I mean,
16 it's across the country. So people who are watching
17 on live stream, people need to talk to their
18 community. I mean, we really do need, as a community,
19 to take responsibility for that as well, so everybody
20 really does need to -- phone calls or writing works --
21 contact everyone, because we should be speaking up
22 for, for Chris and for other family members, like
23 myself, who, you know, whatever comes up down my road.
24 We all should be standing up for each other,
25 especially family members, because, you know, we are

1 kind of lagging behind, clearly, on what we're able to
2 get. So yeah, everyone that's listening and everyone
3 that's in the audience, talk to people in your state.
4 Talk to your friends everywhere and start contacting
5 your Congressional representatives.

6 **DR. BREYSSE:** Thank you, Lori. That's probably a
7 good point to end this session. And I want to thank
8 VA for --

9 **MR. ORRIS:** One more thing. I just to make clear
10 that we're here in Jacksonville, and yes, the veterans
11 were exposed, but so were their family members. Their
12 family members ate, drank, bathed and lived on this
13 base. And we need to have the exact same care that we
14 give to our veterans as we give to their family as
15 well, and that needs to be a priority.

16 **DR. BREYSSE:** Okay, great. So I think we have to
17 move on. And Jamie, you're going to review the action
18 items from the previous -- you know, Perri Ruckart's
19 name is listed on the agenda, and she, due to a family
20 matter, was unable to come at the last minute, so she
21 wanted me to kind of welcome to you all, but we'll
22 turn it to Jamie now to review the action items.

23 **ACTION ITEMS FROM PREVIOUS CAP MEETING**

24 **MS. MUTTER:** Thank you. Okay, so the first
25 couple action items are for the VA. The first one is

1 the CAP wanted to know if there's a formal training
2 for VA SMEs who review claims. I think that ties into
3 the third question, so I'm just going to keep going.
4 I think that's rolled into that question.

5 The next one is the CAP formally requests that
6 the NRC report not be cited anymore in claims
7 decisions.

8 **DR. DINESMAN:** All right, let me go ahead and
9 address that, but before I do let me backtrack a
10 little bit on, you know, the first one, the SME.
11 There was a mention that many of the SMEs are family
12 practice. If you go back and actually look at the
13 ATSDR's training for environmental assessments, you
14 will see that the majority of people that evaluate
15 folks, at least initially, for a lot of these
16 exposures are family practice. And so there are --
17 there is a disconnect when you talk about somebody
18 who's a subject matter expert and their certification.
19 And so people can be experts on something that they
20 have studied intensively, regardless of what their,
21 you know, specialty certification may be.

22 **DR. BREYSSE:** So, that's fine, but I think we
23 need to --

24 **DR. DINESMAN:** Okay, let me go ahead and go --
25 let me answer that, the question on the NRC report.

1 So the NRC report is what I like to call a starting
2 point, all right? So it is a -- it was a --

3 **MS. FRESHWATER:** We don't have time to go back
4 through this. I know where you're going, but if you
5 could directly answer her, what the action item is.
6 We formally request that it not be used at all, so we
7 don't need another explanation as to why you use it.
8 I mean that respectfully. Please, just let us know if
9 you're going to go and do what we've asked or not.

10 **MR. ENSMINGER:** No.

11 **DR. DINESMAN:** Well, as I said, it is a piece of
12 the literature. We can't take out specific parts of
13 the literature.

14 **MS. FRESHWATER:** Why can't you?

15 **DR. DINESMAN:** As a part of what we do, we either
16 cite --

17 **MS. FRESHWATER:** Is there a law saying you have
18 to use that report?

19 **DR. DINESMAN:** Is there a law saying we don't
20 have to use the report? It's part of the literature.
21 So we use what is available in the literature. Now,
22 it doesn't mean that we have to rely on the NRC report
23 as being the absolute authority on anything. It is
24 just one piece of literature. And so as there's more
25 and more scientific data that comes out, the SMEs

1 should be using the most current scientific data.

2 **MS. FRESHWATER:** But why put outdated science in
3 there? Why not take it out? Why, why do you need it?
4 I need -- we've asked you not to use it. You've
5 not -- you've yet to give any justification as to why
6 it's important to keep it in.

7 **DR. BREYSSE:** I think we've -- this has been --

8 **DR. ERICKSON:** Did ATSDR, in any way, reference
9 the 2009 NRC report in their recently posted study?
10 Was it mentioned at all?

11 **MR. ENSMINGER:** It wasn't a study.

12 **DR. ERICKSON:** Well, it was considered what's
13 called a consensus literature review, okay.

14 **DR. BOVE:** One of the problems with this
15 disconnect, I mean the two programs, is this NRC
16 report. I mean, the 15 conditions that are gone in
17 the law are based on the NRC report.

18 **DR. ERICKSON:** That's right. That's right. We
19 read the review letter recently.

20 **DR. BOVE:** But since the NRC report there have
21 been other reviews to the literature more extensive
22 actually than the NRC report, and so that's why when
23 we looked at the literature in the last few years, to
24 come up with the report we just put on our website, we
25 did not use the NRC report because there's more recent

1 information from IARC, from EPA, from the National
2 Toxicology Program. And there are also studies that
3 have been conducted since the NRC report, including
4 our own studies that we're seeing. So that's why we
5 don't use the NRC report, because we feel it's
6 outdated. And we had some serious criticisms of that
7 report that we've aired in the past.

8 **DR. ERICKSON:** So I want to say something very
9 positive here. With ATSDR now publishing this very
10 exhaustive work, and again, kudos to the team at
11 ATSDR, and particularly you, Frank. This gives us
12 something to -- a published, reviewed document, not,
13 not a bootleg copy, okay, but a published document
14 we've got that we can give to the SMEs that will
15 obviate the need for them to reach back to the
16 document that's seven years old.

17 **MS. FRESHWATER:** Okay, so just to be clear --

18 **MR. PARTAIN:** The problem with, Dr. Erickson,
19 with all this on the NRC report, just to cut to the
20 chase on this, the problem is that in the past it was
21 selectively used as an authoritative [sic] statement in
22 the denials, and it became quite apparent to us in the
23 community that other reports were being disregarded.
24 Studies were being disregarded. And as you mentioned
25 the NRC report is a literature review; it's not an

1 epidemiological study. And the question, and the
2 reason why this came back on the action committee, is
3 because it is old scientific review. It has been
4 discredited. There is -- it's been outdated. There
5 are other studies, and we want to see these studies in
6 the reviews. We want to see the revised public health
7 assessment in future evaluations. We want to see the
8 IOM report from -- for kidney cancer. We want to see
9 ATSDR studies, mortality studies. Those are not
10 mentioned in -- basically moored in the reports, but
11 yet we consistently see the NRC report. And we're
12 beating this dead horse over and over again in every
13 meeting, and frankly I'm getting tired of it.

14 **DR. ERICKSON:** Yeah, so part of the dead horse is
15 that you're right, we revisit history. And there's no
16 question that the revisiting history can be
17 instructive. But, you know, at this point I would
18 make the recommendation that, again, as a team we move
19 forward with the publication of the ATSDR document.
20 As well referenced as it is, as well written as it is,
21 this enables us to actually move past history. It
22 enables us to move past the slights, the missteps,
23 however you want to characterize the things that
24 would've been done in the past.

25 You know, I'm not going to justify things that

1 have happened in the past, but in my current role, in
2 the current role of the four that are before you right
3 now as guests before the CAP, you know, we are looking
4 for positive change. We are looking for transparency,
5 as Lori has asked for. We're looking for those
6 positive improvements in these processes. And the
7 ATSDR published report, it took a little while to get
8 it out there, is going to help in this regard, because
9 as far as I'm concerned it's probably one of the best
10 one-stop -- one-shop stops for a new SME, if you were
11 thinking about getting somebody trained up.

12 **DR. BREYSSE:** Tim, you had a question?

13 **MR. TEMPLETON:** Yes, very quickly so we can move
14 on here, a couple of them. To Dr. Dinesman, as far as
15 SME names, they're not on the reports that get sent
16 out to the veteran. Instead -- about the only place
17 that you can find them, there's two ways, if you
18 request a C-file, there in the case file, if you do a
19 FOIA for that, you'll get the notes, 'cause they're in
20 there; or if you happen to go on the HealtheVet site
21 and search and look for the VA notes that are in your
22 file, you'll find them there. But if you don't know
23 that, you won't see them.

24 The letter that gets sent -- so that's one real
25 quick, of two. For, for Brad, and I'll -- I have

1 another question. Will people need to refile on
2 the -- for the presumptive? And then real quick,
3 before you -- before I leave this, for Dr. Erickson,
4 there was an article that was done in the *Military*
5 *Times* recently and it described the process of coming
6 up with additional Agent Orange commissions. And that
7 they were told by you that they had to wait because
8 they -- you guys were working on Camp Lejeune
9 presumptions. And I'd like to get an explanation as
10 to why VA seems to be only a single-carted agency.
11 They're only dealing with one issue at a time. For as
12 large an agency and well-funded as VA is, it seems a
13 little odd for me to -- for us to be thrown under the
14 bus. By doing that you're pitting veterans' groups
15 against each other. So be very -- I would urge you to
16 be very careful when you do that in the future.
17 That's it.

18 **DR. BREYSSE:** So there were three questions
19 embedded in that.

20 **MR. FLOHR:** Yeah. Brad, yes. Veterans who have
21 previously in the past filed a claim for one of these
22 eight conditions and been denied will need to file a
23 new claim. And we'd encourage them to do that right
24 away.

25 **MR. TEMPLETON:** Perfect, thank you.

1 **DR. DINESMAN:** And that was a good point that you
2 had, Tim, on the name, the SME name. What you said
3 was absolutely correct, thank you.

4 **DR. BREYSSE:** Dr. Erickson?

5 **DR. ERICKSON:** Okay. Oh, boy, I get this last
6 one. You know, it certainly isn't the intent of
7 Veterans' Affairs to ever pit one group against
8 another, because all have served, you know,
9 meritoriously in a variety of settings.

10 I will tell you that I don't necessarily want to
11 leave the impression though that VA is replete to do
12 all things all at once, okay? And there is no
13 question but that there are priority missions that can
14 shift based upon a variety of factors. And so some of
15 those you know, some of those you may not hear about,
16 but it's one of those things where we do the best we
17 can for all the different veteran groups. We do the
18 best we can to deal with the most immediate issues,
19 those that need to get out.

20 I'm going to put this in a very positive way, and
21 of course we do talk to the media all the time.
22 Sometimes they correctly quote us, sometimes they
23 don't. There is without a doubt that getting out the
24 final rule for Camp Lejeune was one of Secretary
25 McDonald's number one priorities. Through this last

1 year, you know, Brad and I were in his office nearly
2 weekly. Updates -- you know, I will tell you myself,
3 I went to the White House three different times, met
4 directly with some of the most senior leaders, not,
5 not the President, okay, but folks just below that
6 level.

7 The Camp Lejeune issues, without a doubt, were
8 front-burner, and were high flame, okay. So you know,
9 I -- there's -- and so without making comparisons or
10 trying to cause any kind of competition, I will tell
11 you that the Camp Lejeune issue was absolutely front
12 and center. Now, as it's been stated, that doesn't
13 mean the results that have been met with, you know,
14 perfect pleasure by everybody, but I will tell you
15 that we feel that we've made some really good
16 progress. We feel that a very concentrated main
17 thrust was made, and we certainly took territory.

18 **DR. BREYSSE:** Jamie, how many more action items
19 do we have to go through?

20 **MS. MUTTER:** We have about ten, sir.

21 **DR. BREYSSE:** So if we can try and get to those,
22 and if we can focus on the action item itself as much
23 as possible, that might help us get through.

24 **MS. MUTTER:** Yes, sir. So the next one is the
25 CAP would like more information on the SME process,

1 and I can read through them, but I don't know if you
2 want to elaborate more.

3 **DR. BREYSSE:** That's what we already touched on.

4 **MS. MUTTER:** We go with that? Okay.

5 **DR. BREYSSE:** It was what we already touched on.

6 **MS. MUTTER:** Next one for VA is there was a
7 request from an audience member VA/VBA to do more
8 outreach at the clinics such as posters, to get people
9 registered for available programs.

10 **MR. WHITE:** Yeah, we took that, and we had
11 actually been working on a poster for that very
12 reason. So I brought a draft copy of it on a poster
13 board over here. I was going to walk it around but I
14 didn't want to look like a *Price Is Right* -- one of
15 those ladies. So it's right there, and we're going to
16 be sending that out to the VA medical centers and the
17 clinics as well.

18 **MS. MUTTER:** Okay, thank you. So the next action
19 item is for the DoD. The CAP reiterated a request
20 that the USMC send a uniformed representative to the
21 CAP meetings. If no one is sent to the next CAP
22 meeting, the CAP requests a formal letter response to
23 the CAP, signed by someone at Marine Corps
24 headquarters.

25 **MS. FORREST:** Hello, this is Melissa Forrest from

1 the Department of the Navy. The Marine Corps remains
2 committed to supporting the Agency for Toxic
3 Substances and Disease Registry's Camp Lejeune health
4 activities as well as the founding purposes of ATSDR's
5 Camp Lejeune Community Assistance Panel.

6 In the past the Marine Corps has had
7 representatives attend CAP meetings. Based on those
8 past experiences we found that a uniformed presence
9 detracted from the purpose of the meetings, which is
10 forward-looking towards getting community input into
11 current and ongoing health studies. Having a
12 Department of the Navy CAP representative from the
13 Navy and Marine Corps public health center,
14 representing both the Marine Corps and the Navy,
15 remains the most effective means of participation at
16 the CAP meetings. Our Department of the Navy
17 representative attends the CAP meetings, relays any
18 questions or concerns back to the Marine Corps and
19 Navy, and facilitates responses to any Department of
20 the Navy CAP action items.

21 As an example, the CAP recently requested a tour
22 of Camp Lejeune sites in conjunction with the CAP
23 meeting in Jacksonville, North Carolina, and we have
24 been able to accommodate this request through
25 coordination with our DON representative on the CAP.

1 This response, as with all action item responses
2 provided through the Department of the Navy
3 representative, is the official Marine Corps response.

4 **MS. MUTTER:** Thank you. The next one for the DoD
5 is the CAP formally requests that documents be
6 released to the public as soon as they are available
7 instead of waiting for all the documents to be ready
8 to be released. The CAP would also like an
9 explanation of the quality control process used in the
10 document reviews.

11 **MS. FORREST:** The Department of Navy has
12 completed its releasability [sic] review of documents
13 identified by ATSDR as potentially relevant to their
14 soil vapor intrusion public health assessment. On 17
15 January 2017 the Marine Corps provided ATSDR with an
16 external hard drive containing the documents prepared
17 for release.

18 The second part related to the quality assurance
19 review. The quality control process used in the
20 document reviews is as follows. First we determine
21 which documents have been previously provided to ATSDR
22 for release to the public, in order to prevent
23 duplicate releases. Second, compare and reconcile
24 documents listed on the master document index to those
25 on the hard drive being provided back to ATSDR.

1 Third, review redacted and withheld documents, to
2 ensure the appropriate FOIA, for Freedom of
3 Information Act, exemption markings were made.

4 Fourth, verify that documents previously marked FOUO,
5 still requires such markings, and if not, properly
6 remove the FOUO language. And fifth, conduct a final
7 quality check for organization, appearance and
8 functionality of the hard drive. This quality check
9 and assurance process is conducted by several
10 individuals and in order to ensure the most accurate
11 and highest quality product is turned over to ATSDR.

12 **MR. PARTAIN:** One quick observation on the
13 documents, and thank you for quickly working over the
14 past three years to get this done, and I say that
15 tongue-in-cheek. On the duplication of documents, I
16 am concerned about that because there were numerous
17 documents in the initial Camp Lejeune water and CERCLA
18 files where they appeared twice. And one document had
19 written comments on them that proved very -- you know,
20 it points very important to what we were doing, and
21 the other document had no comments on it. By
22 arbitrarily saying the Navy and Marine Corps are
23 removing duplicate documents, there's a concern in
24 which, which version is being removed or not. I would
25 prefer that -- you know, if they're going to designate

1 duplicate documents, go ahead and take those documents
2 that have been so designated, and put them into a file
3 labeled duplicate documents, so at least we can go
4 look and see for ourselves. Not that we don't trust
5 the Marine Corps but in the past the official
6 statements and comments of the Marine Corps,
7 leadership of the Marine Corps, have not matched what
8 we uncovered in the document research.

9 **MS. MUTTER:** Thank you. Okay, the next action
10 item is for the DoD. For the public meeting in
11 Jacksonville, North Carolina, the CAP would like a
12 base site tour to be made available to interested
13 public meeting attendees. If it is not possible to
14 accommodate a large group, then the CAP would like a
15 tour for CAP members.

16 **MS. FORREST:** The Marine Corps is accommodating
17 this request. As you are aware the tour is taking
18 place today, 21 January 2017.

19 **MS. MUTTER:** Okay. The next action item is for
20 ATSDR. The CAP requests that someone from the office
21 of communications work with the CAP for planning,
22 advertising the next off-site meeting. The response
23 is ATSDR will follow the same template we used for the
24 Greensboro and Tampa public meetings.

25 The next action item is the CAP asked that

1 Dr. Blossom's presentation be emailed to the CAP, and
2 that was completed.

3 The next one is for ATSDR. The VA asked if ATSDR
4 could share the addresses we have from the health
5 survey with them. Response is -- was mailed to the VA
6 on August 18, 2016. Unfortunately we are unable to
7 share the addresses because the content from the
8 survey said, quote, information from the survey will
9 be used for research purposes only. All answers you
10 give will be kept private to the extent permitted by
11 law. We do not plan to share your information with
12 anyone other than ATSDR staff and its contractors, end
13 quote.

14 The next action item is for ATSDR. Request that
15 the VA agenda items be placed at the beginning of the
16 meeting, followed by a discussion on action items from
17 the previous meeting. That has been completed.

18 And the last action item is for the CAP. In
19 order to pursue getting -- I hope I'm saying this
20 right -- an ombudsman for Camp Lejeune-related issues,
21 the VA requested that the CAP provide a justification
22 showing a specific need that an ombudsman would
23 address.

24 **DR. BREYSSE:** So was the CAP able to provide a
25 justification for an ombudsman to the VA or that's

1 something --

2 **MS. CORAZZA:** No. I think that was me, but I
3 don't think I ever wrote to that, so...

4 **DR. BREYSSE:** So we'll carry that action item
5 forward?

6 **MS. CORAZZA:** Yes.

7 **DR. BREYSSE:** All right, so I have time for a
8 break unless there's a question we'd like to jump in
9 with now. Tim?

10 **MR. TEMPLETON:** Yeah, just real quick. I'm
11 excited. I hear about this external hard drive. I'd
12 like to get my hands on it as quickly as I can.

13 **DR. BREYSSE:** Rick, you wanted to say something?

14 **MR. GILLIG:** Well, Tim, we can't give you the
15 external hard drive but we can load these documents up
16 to the FTP site, as we did a couple years ago. So
17 I've got a team back in Atlanta looking through the
18 hard drive, and we'll get those uploaded as quickly as
19 possible.

20 **MR. PARTAIN:** And resend us an email.

21 **MR. GILLIG:** And we will send you an email, and
22 we will also resend the information for accessing the
23 FTP.

24 **MR. ENSMINGER:** Define external hard drive.

25 **MR. GILLIG:** Well, an external hard drive is --

1 we've loaded the documents. It's a little widget.
2 We've loaded all the documents on there, mailed that
3 to the Navy. They looked through it. Those were the
4 documents that they looked through and redacted. So
5 it's just a hard drive like in your computer, except
6 external.

7 **MR. ENSMINGER:** Oh. I thought it might have come
8 from an external source.

9 **DR. BREYSSE:** All right, so I think it's time for
10 a break so why don't we meet back here at 10:50.
11 10:50. That's 15 minutes from now.

12 [Break, 10:33 a.m. till 10:53 a.m.]

13 **PUBLIC HEALTH ASSESSMENT UPDATES**

14 **DR. BREYSSE:** So the next item on the agenda is a
15 report back from ATSDR on the public health assessment
16 updates, including the drinking water and the soil
17 vapor intrusion update. So we'll turn to Rick Gillig
18 to talk about the public health assessments updates.

19 **MR. GILLIG:** Okay, good morning, everyone. I'd
20 like to update you with the soil vapor intrusion
21 project first and then the drinking water public
22 health assessment that was released yesterday.

23 So as you know, for the soil vapor intrusion
24 project we have been compiling information for the
25 last couple years. We have reviewed over 40,000

1 documents. We've completed pulling information out of
2 those documents. We've put it into a SQL database.
3 Currently we're in the process of standardizing that
4 database. Once we standardize that database we can
5 start doing data summaries and compiling results.
6 We've had a considerable effort the last six months to
7 geo-reference all the contaminant information that we
8 pulled from the documents, and we completed that geo-
9 referencing back in September. So it's been a long
10 process but we are nearing the point at which we can
11 start doing data analysis.

12 There was some mention earlier about the
13 documents that were provided back to ATSDR from the
14 Department of Navy. The Navy did redaction of
15 documents. I've got a team back in Atlanta reviewing
16 the hard drive that contains those documents, and we
17 will upload those to the FTP site within the next
18 couple weeks. We will forward all members of the CAP
19 with an email, also information on how to access that
20 FTP site. I know we have a couple of new members to
21 the CAP. So we need to provide that information to
22 all of you.

23 Is there any question on the soil vapor intrusion
24 project? Jerry?

25 **MR. ENSMINGER:** No, I just got a comment for the

1 audience. And they're probably sitting back there
2 wondering what the hell soil vapor intrusion is. So
3 they understand and can follow along, all these
4 contamination plumes that were down in the ground, a
5 lot of them volatilize and become a gas, and come up
6 through the ground. And a lot of them are coming up
7 into buildings that are located above those plumes.
8 Most people think that the exposures at Camp Lejeune
9 ended in 1985, slash, '87 time frame; they didn't. We
10 have evidence that they were taking place as late as
11 1999, and that was through vapor intrusion into the
12 buildings. So the saga continues. That's -- so.

13 **MR. GILLIG:** Any questions on the vapor intrusion
14 project? If not, I'll move to the public health
15 assessment, the re-evaluation of drinking water
16 exposures. To my right I have Mark Johnson who was
17 the lead author on that document. That was released
18 yesterday. It's posted on the ATSDR website. This
19 was an update from the 1997 document.

20 The reason for that update, we have completed --
21 several years ago we completed water modeling, which
22 gave us information on -- gave us estimates of
23 contaminant levels in the drinking water models across
24 the base. So we use that information as part of our
25 re-evaluation of exposures through drinking water. Do

1 we have any questions about the public health
2 assessment?

3 **DR. BREYSSE:** I'll just say one thing for the
4 members of the public here, we'll give a presentation
5 of the findings at the public -- tonight during the
6 public meeting. We've reviewed the findings of the
7 public health assessment previously, and so we don't
8 plan on going through those results right now, unless
9 there's a specific question. But we will certainly
10 have a more detailed presentation this evening for the
11 benefit of the community.

12 **MR. ORRIS:** So I just have one quick question. I
13 mean, we got the PHA late last night in the final
14 form. And one thing, just for current accountability,
15 I noticed that you're recommending that everybody who
16 currently lives at the base should run their water
17 from one to two minutes before drinking that water,
18 for lead exposure. And I wanted to know have we
19 communicated that to the Marine Corps? I'm sure the
20 Marine Corps is aware of it. And has the Marine Corps
21 trickled that down to the people who are drinking that
22 water?

23 **MR. GILLIG:** Yeah, Chris, I, I can't answer
24 specifically what the Marines are doing to address the
25 lead contamination. I know they have a very active

1 monitoring program.

2 **MR. ORRIS:** Well, I mean, I live here. I live 30
3 miles from here, and I've never heard that you need to
4 run your water for two minutes to drink from it.

5 **DR. BREYSSE:** Chris, that's a fantastic point,
6 and we will -- we've today, in fact on Monday, to make
7 sure -- that advice is given to them. But I will
8 mention, though, that having spent a good part of my
9 last year in Flint, Michigan, and dealing with other
10 communities with lead problems, it's good advice for
11 anybody, whether you have well water or you come from
12 a municipal system, from, you know, Seattle to
13 Saskatchewan, is to, when you get up in the morning,
14 the water that's been sitting in the pipes, to let it
15 run for a minute or two, so you flush out all the
16 water that's been stagnant over time. So that's
17 just -- that's advice that we're finding is good
18 public health advice wherever you are, whether you
19 have a little bit of lead in your service lines or
20 lead in your fixtures or not, that's just good advice.
21 And so that's advice that our water health program at
22 CDC is starting to communicate more broadly across the
23 country.

24 **MS. FRESHWATER:** I would just add that, as
25 someone who researches on line government, that it is

1 showing up in more and more places, and it's, it's
2 really frightening, so I would just add that to
3 Dr. Breysse's concerns.

4 **DR. BREYSSE:** Fantastic. Hearing no more
5 questions or concerns about the public health
6 assessments, we can now turn to updating our health
7 studies, in particular the health survey and cancer
8 study. We'll turn to Dr. Bove.

9 **UPDATES ON HEALTH STUDIES**

10 **DR. BOVE:** So the health survey, at this point we
11 have it in clearance, and it's been in clearance now
12 for about a month or so, and so it's going through
13 that process. It may take some time but it's in that
14 process.

15 As for the cancer incidence study, as you know,
16 we're trying to get approvals -- in order to do this
17 study you have to work with 50 state cancer
18 registries, the cancer registry in Washington, D.C.,
19 the cancer registries in the territorial areas, the
20 VA's cancer registry and the DoD's cancer registry.
21 And each cancer registry has their own procedures, has
22 their own way of get -- their own forms that you have
23 to fill out, and their own IRB process. Some of them
24 accept the CDC's institutional review board process,
25 which protects human subjects in research, but other

1 cancer registries want to go through their own
2 process, their own IRB process. And in some cases
3 they have to have sign-off by the state commissioner
4 to help. So it varies by state.

5 There's no national cancer registry, which is
6 unfortunate. There is a national death index, so when
7 we did our mortality studies it was easier to conduct
8 those. But for the cancer study that we're doing now,
9 we're working with all 50 states, state territorial
10 cancer registries, the VA and DoD's cancer registry.

11 So right now we've submitted to 42 of the
12 registries. We've submitted the forms. We've gotten
13 approval from 11 registries so far. We've received
14 partial approval from an additional four registries,
15 and that just means that they're -- we're waiting for
16 the commissioner, or in this case, I think these four,
17 to sign off on it. So and we have 13 more registries
18 who we want to submit forms to.

19 We understood, and I think we made this clear to
20 the CAP, that this will require at least a two-year
21 process to get the cancer registries on board, because
22 there's no national cancer registry. So we're on
23 target for that. And we're constantly reminding the
24 registries that we've already submitted forms to, to
25 please go -- get the process going.

1 A lot of these states, their institutional review
2 boards don't meet monthly; they meet quarterly, so if
3 you miss one quarter you have to wait for the next
4 quarter. Some registries are saying, well, you're not
5 asking for the data until the -- we're asking for the
6 data actually at the end of 2018. So we want to get
7 data from the cancer registries up to the end of 2016.
8 There is a year-and-a-half gap between the time you --
9 we get the data from them and the time they finalize
10 the data. So if you want 2016 data you have to ask --
11 wait until mid- to late 2018 to get that data, okay?
12 So some registries see that, and they say well,
13 there's no hurry, then, for us to approve the process.
14 So we're trying to encourage them nonetheless to get
15 on board and so on. So we're on track. And as I
16 said, probably take another year or so to get them all
17 on board, or most of them on board.

18 There are a few registries, one registry in
19 particular, I think, that has a state law that will
20 prohibit it from being part of the study. That's
21 unfortunate. There are one or two other registries
22 that are having difficulties staffing. So we'll have
23 to figure out a way to work around that. We're hoping
24 that's about it, though. We're hoping that most of
25 the other registries will not have any problems with

1 what we're asking for.

2 We've worked with the national -- North American
3 Association of Cancer Registries, which is the
4 association covering all the cancer registries. We
5 have close cooperation with them. They want to help.
6 We've helped them on occasion, through projects of --
7 that they initiate, and so they really want to help us
8 on this one.

9 So all the states know about the studies. We've
10 presented to all the states at a convention last year,
11 so we shouldn't have any problem. But, you know,
12 these are difficult studies. There's only one other
13 study that I'm aware of that used all 50 state -- or
14 most of the 50 state registries, and that was a study
15 where the researchers got consent from every last
16 person, which we can't do in this case. So this will
17 be kind of a unique study that tries to use the 50
18 state registries, and the others as well. So it's a
19 unique thing, and we're hoping that we're successful.

20 **MR. ENSMINGER:** You done? What about funding?

21 **DR. BREYSSE:** So we heard late last week that the
22 funding issue, you remember, was the VA -- the
23 Department of Defense had agreed to fund the study,
24 but we asked for a lot of the money for the cancer
25 registry work up front rather than spreading it out

1 over a number of years. So there was an issue about
2 whether we could get it all from them or not. And
3 we -- and they resisted, but then we heard recently
4 that they were able to do that. So we are going to
5 get the money, so I think the funding issue has been
6 resolved. And they resisted us 'cause it was a
7 government budgetary restrictions about giving money
8 to be spent for the next three years in this year, and
9 so it wasn't a resistance in concept; it was just a
10 resistance issue to go with the rules or in terms of
11 governing, releasing resources, so we were able to
12 overcome that.

13 **MR. ENSMINGER:** What state has the law?

14 **DR. BOVE:** I think it's Montana. What we'll do
15 is this; once we go through this process, and if there
16 are states that are -- we're having difficulty with,
17 the first thing we're going to do is ask for help
18 from, you know, as I said, NAACCR, it's called, the
19 North American Association of Cancer Registries, to
20 help us with those states, and try to work out some
21 arrangement where we get the data we need.

22 If that doesn't work we'll let the CAP know what
23 states we're having difficulties with and -- you know.
24 But I think that -- but we want to go through this
25 lengthy process, and see how many states we can get

1 without -- with the help of NAACR.

2 **DR. BREYSSE:** Ken?

3 **DR. CANTOR:** Frank, I wonder, going back to the
4 health survey, I understand that you can't give any of
5 the results or the conclusions from that, but could
6 you provide just an outline of what was done, how big
7 the population is and what kind of things we can look
8 forward to when it is released?

9 **DR. BOVE:** I'm trying to remember a number. You
10 know, we mailed it out to way more than 300,000
11 people. The list came from our own information we've
12 gotten from the Defense Manpower Data Center, which we
13 used to do our studies, and also those people who
14 registered, for example, with the Marine Corps, so
15 they have their mailing list as well. And so we used
16 all of this information. And for the -- some people
17 we couldn't get addresses, current addresses, for but
18 we mailed it out to over 300,000 people.

19 We got responses back from like, I can't remember
20 the exact number, but about 70,000 responses. If you
21 combine both the Marine, the veterans, the dependents,
22 and the people who were on the mailing list that may
23 or may not have been at Lejeune but, you know, but
24 were on that mailing list.

25 So we looked at -- we asked for the -- the survey

1 is a mailed survey so that the person filled it out.
2 We asked about a number of cancers and other diseases,
3 like Parkinson's, MS, lupus, scleroderma and so on,
4 and also we left some area of blanks in the survey so
5 people could fill in their own illnesses. We asked
6 about pregnancy history, so we got that information
7 and results of the pregnancy. So we asked all those
8 questions. And we verified -- we went back and asked
9 for medical records for the cancers, and as I said,
10 the Parkinson's, lupus and sclerodermas, and for a
11 restricted list, 'cause we couldn't get confirmation
12 on everything. So that's what we did.

13 You know, there are limitations to a survey like
14 this. Who participates and who doesn't is the key
15 problem with any survey. When you do a mailed survey
16 -- for example, the census, when they first do a
17 mail-out for the census, they get a response rate
18 pretty low. They have to go knocking on doors to get
19 the, the rate up. So any time you do a mailed-out
20 survey, you can expect a low participation rate, and
21 it happened to us as well. We had about 25 to
22 30 percent participation rate. So these are problems
23 with any survey and are problems with ours. But
24 we're -- did what we could with the information. As I
25 said it's in clearance. Do you have other questions?

1 **DR. BREYSSE:** Lori?

2 **MS. FRESHWATER:** Thank you. So going back
3 earlier, when we were talking about people contacting
4 Congressional representatives, this is another area
5 where I feel like people can help by demanding that we
6 have a cancer registry in this country. Not asking
7 but saying that 49 of the states not have a cancer
8 registry is -- it's not just, and it's not the right
9 answer for public health.

10 So my question to you is, and I would ask anyone
11 else that has input on this -- I know we've had very
12 positive conversations I've had with the VA, and not
13 to speak for them, but they seem supportive of the
14 idea and feel like it would be helpful moving all of
15 this forward. We also know in Washington that our --
16 there are forces that probably would not like a
17 national cancer registry. So it is going to take a
18 lot of public participation. So I would ask anyone
19 who has any advice for the public or any of us that
20 want to go forward and try and promote this as a
21 cause, what -- you know, just give us some input on
22 that, please.

23 **DR. BOVE:** Just so you know, this organization
24 called NAACCR has been moving slowly but surely in
25 that direction, trying to do pilot work to develop

1 something that could be national, okay. And they used
2 our Camp Lejeune data as their first pilot thing, and
3 it helped them a great deal. But it's extremely
4 difficult. As I said, there are 50 state registries
5 plus the Washington, D.C. registry. And they all have
6 their different rules. Some have state laws that tell
7 them what to do and what they can't do. And so we're
8 going to have to break through all that and have a --
9 in order to have a national registry there would have
10 to be a Congressional effort. But as I said, baby
11 steps are being taken, at least. And we've been
12 helping as much as possible in that process, using the
13 Lejeune data for that.

14 **MS. FRESHWATER:** And you know, as a scientist,
15 what -- can you just give us an idea of what people
16 should -- if I were to call my Congressional
17 representative and say we need a national cancer
18 registry, but I'm not a scientist -- the purpose is so
19 that the states can communicate with the data, right,
20 and, and we can find areas where certain things show
21 up and that kind of thing. Just kind of help me help
22 the public know what to ask for, please.

23 **DR. BOVE:** Well, in almost any situation, I'm
24 thinking for example of the study that was done with
25 firefighters just recently, the last year or two, in

1 three cities. They had to use 11 or 12 different
2 state registries. Almost any study you're going to do
3 of a work force or an environmental situation, people
4 move. They don't stay put. And Lejeune is an extreme
5 example where people are all over the country, or all
6 over the world in fact. So in order to do any kind of
7 study you'd have to have access to quite a number of
8 state registries, and you have to go piecemeal through
9 this process, which takes quite a long time, a lot of
10 resources, just to get this information.

11 Also it would help if the states, and they do to
12 some extent do this, but we have cancer data that are
13 published, national cancer data, that probably is
14 inaccurate because there are probably a lot of
15 duplication that, because of the states don't have a
16 way of linking their data all together, to look for
17 duplicates, we're probably posting -- I mean, not we,
18 ATSDR, but the government's posting information that
19 is probably problematic, okay? And so just for that
20 reason alone, to have accurate incidence data for the
21 cancers, and you can chime in on this, it would be
22 helpful to have a national registry so those kinds of
23 corrections can take place, because the people -- a
24 person may get seen in one state and treated in
25 another state. Now you have two states with the data,

1 and that gets counted, and it's duplicate.

2 **DR. BREYSSE:** So that's great. So if you're
3 looking for some simple language, Lori, maybe I can
4 impose on Ken, if Ken could draft from your fellow CAP
5 members some simple language that they might use to
6 communicate the need for a national cancer registry,
7 as people might speak to various political parties or
8 different levels of the government.

9 **MS. FRESHWATER:** That would be wonderful. I
10 appreciate that very much. And just out of curiosity
11 has anyone heard -- I know that former Vice President
12 Joe Biden has this acute cancer what is it, the
13 moonshot? Has anyone contacted that organization and
14 what he's trying to do with the notion of a cancer
15 registry? Is that something that people could do?

16 **DR. BREYSSE:** I'm not aware of any.

17 **DR. BOVE:** Again, an initiative is being taken by
18 NAACCR, because they work with all of the state
19 registries, the association they hold at a national
20 conference every year and mini conferences. So that's
21 the entity that's -- who would probably spearhead this
22 effort, and basically have more information than I
23 just gave you about the issues.

24 **MS. FRESHWATER:** Can you send me a link to them?

25 **DR. BOVE:** Well, it's N-A-A-C-C-R, so if you just

1 type that in, then you probably will come to their
2 website. What we can finally -- I think I mentioned
3 this before, but what we did, a year ago now, is we
4 gave them all our Camp Lejeune data. They sent it out
5 to, I think, it was over 40 states. They did the
6 matching there. We didn't ask -- for the study we
7 wanted this information connected to the Social
8 Security Number of the person, so we can actually do
9 this now, but in this case the pilot just was how many
10 times did you -- how many states found hits, matches.
11 And so we got that count data. It wasn't as useful
12 for us but it was very useful for them, and so -- to
13 start this process. So we're very much interested in
14 helping them any which way we can. And CDC does fund
15 all 50 state cancer registries, as far as I know, so
16 we'll be involved.

17 **MS. FRESHWATER:** So that's great that someone
18 else is -- you're saying they've already kind of
19 started in that motion. Maybe we can kind of
20 consolidate and work with them and get behind them to
21 help push the rock with our shoulders, right? Okay,
22 thank you.

23 **DR. BREYSSE:** Tim?

24 **MR. TEMPLETON:** Thank you very much. I'm trying
25 to take us in a slightly different direction, so I

1 apologize. I heard, when we had the VA's portion of
2 our program here, that they were talking about the NIH
3 and for genetics and mutagenics, for studies, so I'm
4 curious as to how, maybe just your guess, or some
5 thoughts on how we might approach that. Do we need to
6 approach them directly or would they -- would we
7 approach them through the CAP, through ATSDR? To try
8 and initiate some of those studies.

9 **DR. BREYSSE:** I think I'd defer to the VA about
10 how -- what sort of interaction with the NIH you have
11 or how we might facilitate that.

12 **DR. ERICKSON:** You know, at this time my
13 encouragement would be to keep your powder dry, just
14 for the moment. And the reason I say that is there
15 are already members of Congress who have expressed
16 interest in looking at toxic environmental exposures.
17 They've passed some bills that have led to some
18 generation of efforts already in this regard. I
19 mentioned the two national academy studies that we
20 have commissioned.

21 We really need the national academies to give us
22 sort of an independent, authoritative -- I call it a
23 roadmap, a framework, that allows us to then basically
24 attach to the scaffolding, you know, all the elements
25 that will enable those people that appropriate money

1 to do so in an organized and prospective manner. I'm
2 actually very hopeful that we're going to get some
3 traction on that, but the challenge right now is
4 really one of education. There, there have been some,
5 some very incredibly intelligent members of Congress
6 who initially asked VA to just take it on entirely
7 ourselves, and our response was, you know, we agree
8 with wanting to do that, and we agree it needs to
9 happen, but we don't agree that VA needs to own it.
10 We think VA needs to be the collaborator, the same way
11 that we collaborate with the experts at ATSDR. We
12 want to collaborate with, with an agency that has
13 pediatricians, 'cause we don't have pediatricians, and
14 collaborate with an agency that has a deep laboratory
15 bench of scientists who actually run those
16 technologies on a regular basis, et cetera, because we
17 think, in the end, that a whole of government
18 approach, or at least bringing in other agencies that
19 are truly the experts, will give us a better answer, a
20 better product.

21 **MR. TEMPLETON:** Great, thank you. Thank you very
22 much.

23 **DR. BOVE:** One thing that I just want to add to
24 Lori, if you do look up the NAACCR, the project's
25 called the virtual pooled registry, VPR, sometimes

1 called viper. And that's the project that I'm -- that
2 I was talking about.

3 **MS. FRESHWATER:** Great, thank you.

4 **MR. ORRIS:** So Dr. Bove, quick question for you.
5 I know ATSDR has many other affected communities that
6 they're working with, doing a lot of research on
7 cancer. One of them comes to mind is, you know that
8 ATSDR handles the 9/11 exposures. And my question is,
9 is there any way that we can start coordinating
10 between all of these different agencies within ATSDR
11 and these different studies to push forward this
12 national cancer study, showing funding, et cetera, so
13 that because -- you know, I know with specifically
14 like 9/11 you're talking about 50-plus cancers from an
15 environmental exposure standpoint, and I'm sure we can
16 start tying some of these together to build this
17 national cancer database.

18 **DR. BREYSSE:** So the -- while ATSDR helped
19 establish the World Trade Center Registry, it's now
20 run by the City of New York and administered through
21 NIOSH, which is another part of CDC now. So we still,
22 you know, have close contact with them, and we could
23 certainly talk with them about any thoughts they might
24 have about advocating on behalf of this effort to get
25 a national registry, so we can certainly do that.

1 **MR. ENSMINGER:** So you guys are ATSD?

2 **DR. BREYSSE:** Yes. That's an inside joke.

3 **MR. PARTAIN:** Dr. Breysse, actually that reminds
4 me of something that I forgot to ask about earlier,
5 (cell phone music) after our brief musical interlude.
6 Anyways, going back to the release of the public
7 health assessment last night, being that there is some
8 changes that's going to be discussed later tonight
9 with the public meeting, is ATSDR going to approach
10 the Marine Corps and the registry that they have
11 compiled of like 235,000 Marines and their families to
12 request that this updated PHA, at least a link, be
13 disseminated to them?

14 **DR. BREYSSE:** We certainly can do that. We have
15 a meeting with the DoD folks next week, and I'll make
16 sure it's on the agenda.

17 **MR. PARTAIN:** And how many -- considering that in
18 2009, when the NRC report was released, it was in May,
19 June, it was disseminated by the Marine Corps to
20 everybody on the list within like two or three months.
21 So hopefully this updated public health assessment,
22 which is a very important document, will get out to
23 the Marines and their families.

24 **CAP UPDATES AND COMMUNITY CONCERNS**

25 **DR. BREYSSE:** Pardon me for one minute, Jerry.

1 So I'm just going to move to a -- I think we're
2 already entering into a broader CAP up -- you know,
3 CAP question and answer period. We have a half an
4 hour left. We reserved this last half hour for any
5 residual CAP concerns that we haven't talked about,
6 and we want to make sure we provide an opportunity for
7 a public member or two to make a response or ask a
8 question, recognizing that we can't possibly
9 accommodate everybody who might want to speak right
10 now, but that's the whole purpose of having the two
11 hours this evening, to make sure we have plenty of
12 opportunity for that. So I'll start off with Jerry.

13 **MR. ENSMINGER:** I just wanted to let the folks
14 that are attendant here, the community, that we
15 greatly appreciate what has been transpired here, with
16 the VA and the approval, this rule-making. However,
17 it only covers veterans. I've had several questions
18 about -- people coming up to me out in the hall:
19 Well, our families were there too. Yeah, I get it,
20 okay? And, and believe me, this fight with this
21 announcement is not over, by a long shot.

22 When the Marines that were married showed up in
23 Camp Lejeune with their families, many of them were
24 awarded -- or afforded housing aboard base. That
25 veteran now, if he gets kidney cancer he gets his

1 benefits and compensation. Well, his family was
2 exposed to the same contaminants and they don't get
3 anything, except for payer of last resort for their
4 healthcare. Is it right? No, it's not. That's our
5 next goal.

6 Civilian employees is another issue that needs to
7 be taken care of. There is a compensation act through
8 the Department of Labor. It's called the Federal
9 Employees Compensation Act, and the civilian employees
10 can be a pretty quick fix. All we'd have to do is get
11 Congress to provide them some money for the Camp
12 Lejeune program, and that would be taken care of. So
13 we hear you. We understand the injustice here, and we
14 are going to pursue them. So bear with us.

15 **DR. BREYSSE:** So are there any other CAP
16 questions or issues you'd like to raise or can we open
17 it up to the --

18 **MR. PARTAIN:** Actually, Dr. Breysse, real quick,
19 'cause this may trump -- or not trump, nothing funny
20 here, but may help some questions here. I've -- after
21 the announcement on the 13th, I've had several spouses
22 of deceased veterans contact us about, you know, they
23 passed from kidney cancer, bladder cancer, and they're
24 not aware that they may be entitled to potential
25 benefits. And I don't know if there's a comment that

1 the VA can make real quick. Some people have
2 indicated that they can't be here tonight, at
3 tonight's meeting, so I want to go ahead and pose that
4 and turn that over.

5 **DR. BREYSSE:** So is anybody able to respond?

6 **MR. FLOHR:** Well, Mike, are you talking about
7 outreach?

8 **MR. PARTAIN:** Yeah, I'm not familiar with all the
9 VA programs, but say a veteran dies of kidney cancer
10 and has a surviving spouse, and the cause of death is
11 due to kidney cancer.

12 **MR. FLOHR:** Right. Then they're entitled to what
13 we call dependency and indemnity compensation. That's
14 a service-connected death benefit, and that's payable
15 to the surviving spouse and any children under the age
16 of 18 or between the ages of 18 and 23 and are
17 attending an approved program of education. All you
18 need to do is file a claim.

19 **DR. BREYSSE:** So there's a microphone over here
20 on the side. We have about a half hour. If people
21 would like to ask a question, make a comment, feel
22 free to do both. I'm sorry, I'm at a bit of a loss
23 'cause I have my back to the crowd. I'm going to see
24 if I can stand up and move away, if you don't mind.

25 **MR. MIRACLE:** My name is Charles Miracle. Be a

1 miracle if this ever comes to effect. I was in the
2 Marine Corps 1954 to 1957. I have a service number.
3 I don't have a Social Security Number in that date.
4 1474262. I got out in '57. About two or three years
5 later I begin to feel my arm as it went by my breast
6 hurting, hurting very much. And today it still hurts
7 and itches. Now, it's been 50, 60 years ago.

8 I was operated by a civilian doctor here in
9 Jacksonville, become ill in Jacksonville. I have
10 been -- I went to the VA. I was told by, I can't
11 remember his full name, but it was Matt. Some of you
12 folks might know him. He had a curly, handlebar
13 mustache. I was told I was not a veteran. I'm a
14 Korean veteran. I know that, but he wouldn't register
15 me or do nothing for me.

16 Years passed. He went out of office, and I went
17 to the VA again, and I was treated like a long-lost
18 son. The VA has done me fairly well in medicine and a
19 nurse, as of today. I have been to Fayetteville. The
20 doctor up there didn't x-ray me, didn't check me, just
21 looked at my scar, and said, oh, yeah, you have a
22 scar. And I have papers right here to prove what she
23 said.

24 I talked to my VA doctor two or three weeks -- or
25 two or three months ago. I got the same statement.

1 But Doctor, why am I still itching and hurting in my
2 breast? Now, some of you ladies, I don't know if any
3 men know it, if you've got a breast problem, back then
4 it wasn't mentioned. I'm a man. We didn't talk about
5 our breasts. Nowadays people talk about their
6 breasts, their nose, their ears, their pains. But
7 anyway I still can't get an answer why I have a
8 itching, a pain or what.

9 **MR. PARTAIN:** Something that a mammogram would
10 probably solve.

11 **MR. MIRACLE:** Mammogram? No, they don't think --
12 no, you look good. I look good. I can show you, I
13 look good. But I hurt. I'm a veteran. Been a
14 veteran a long time. And I appreciate some of the
15 work I've got, and I appreciate this man.

16 Many years ago when all this begin, with Jerome,
17 I couldn't get any answer from anybody, Daily News,
18 Camp Lejeune, the VA or anybody on trying to get up
19 with anybody to help me.

20 **DR. BREYSSE:** So thank you, sir. Is there any
21 advice we can give him about healthcare for his
22 condition?

23 **DR. ERICKSON:** Mr. Charles Miracle.

24 **MR. MIRACLE:** Yes.

25 **DR. ERICKSON:** Get, get with me afterwards. Give

1 me some information, and we'll see what we might want
2 to do. I mean --

3 **MR. MIRACLE:** The reason I've had permission to
4 speak now is because today is my wife's 80th birthday.

5 **DR. ERICKSON:** Oh, congratulations.

6 **MR. MIRACLE:** And I'm giving her a special dinner
7 tonight at five o'clock.

8 **MS. FRESHWATER:** Korean war veterans don't --
9 have known as the forgotten veterans sometimes, and I
10 want to thank you for your service, and we'll never
11 forget what you did.

12 **MR. PARTAIN:** Dr. Erickson, he did have a breast
13 mass and surgery on that, so the concern would
14 probably be to do a mammogram.

15 **DR. ERICKSON:** Yeah, roger that. I wanted to
16 protect his privacy by not discussing it in public
17 right now.

18 **MR. PARTAIN:** I know, but he's...

19 **DR. ERICKSON:** Thank you.

20 **MS. MUSLER:** Hi, thank you for allowing me to
21 speak up here. My name is Patti Musler (ph). I'm
22 from Ohio. I'm the daughter of a Marine veteran.
23 He's 79 years old. He's living in Florida, and we
24 believe he's suffering from a lot of the neural
25 behavioral effects, which is not one of the

1 presumptive.

2 My question is -- I'm on the third part of the
3 appeal. We're waiting for the hearing before the
4 review board. It's not one of the presumptive now,
5 but let's say he gets denied again, and then it
6 becomes a presumptive later on, are we going to have
7 to go through this whole four-year-now process again
8 in order for him to get back into the, the system, to
9 be re-reviewed, or is it just he's out of luck? Once
10 you're denied, you're denied and that's it.

11 **MR. FLOHR:** Thank you. And thank your father for
12 his service. You're right; neural behavioral effects
13 are not going to be presumptive diseases. As we've
14 discussed earlier today it might become part of it at
15 some point in time.

16 **UNIDENTIFIED AUDIENCE SPEAKER:** Would you speak
17 into the microphone, please?

18 **MR. FLOHR:** It might become one at some point in
19 time, should we get sufficient evidence to show it
20 should be added. But once he's been denied, then he
21 would have to file a new claim. But he wouldn't have
22 to file any medical evidence. Just file a claim, if
23 it's presumptive it would be done very quickly and
24 simply, and wouldn't have to go through a long review
25 process.

1 **MS. MUSLER:** Okay, and then, God forbid, his
2 health is not really good. God forbid, he passes, but
3 my mother's still alive. Would we be able to reapply
4 on her behalf, as a surviving spouse?

5 **MR. FLOHR:** If that was added to the presumptive
6 list, then yes, she would be entitled to a dependent's
7 indemnity compensation, yes.

8 **MS. MUSLER:** Okay. Thank you very much, and
9 everyone that's here, thank you for your service. I
10 have the utmost respect for all of you.

11 **DR. BREYSSE:** Next question, please?

12 **UNIDENTIFIED SPEAKER:** (Unintelligible) My
13 husband died at the age of 28 years old. I'm fighting
14 three types of cancer. I'm at the end of my ropes.
15 My question is, one of the diseases that was on the
16 paper, can I file on his behalf of that diseases.
17 When I went to Duke University, they got three
18 clusters of cancer. I've been fighting ever since my
19 daughter was five years old. My daughter's 30 years
20 old now, and she went to the doctor last week, and
21 they found five clusters of tumors on her. So -- but
22 before my husband could get a diagnosis of what he was
23 going through, he passed away, so it wasn't put on his
24 death certificate. What do I do?

25 **MR. WHITE:** Brad, do you want me to --

1 **MR. FLOHR:** So may I ask, was his cause of death
2 one of the eight presumptions that we have now?

3 **UNIDENTIFIED SPEAKER:** No.

4 **MR. FLOHR:** It was not?

5 **UNIDENTIFIED SPEAKER:** No.

6 **MR. FLOHR:** Well, you could always file a claim
7 for death benefits, but we'd have to review it. You'd
8 have to have -- look at the medical evidence to find
9 an association between what he had and his service
10 with some medical evidence from medical professionals.

11 **UNIDENTIFIED SPEAKER:** This is what the doctor
12 said. What he died of was caused by a chemical
13 balance of water that he might have caught overseas at
14 that time, because they did not know about the Camp
15 Lejeune water, and that's what I'm told, and that's
16 what is in contact with his paper. But it was never
17 noted in his death records or anything like that.

18 **MR. FLOHR:** Well, you certainly have the right to
19 file a claim. And VA has a duty to assist and help
20 people who file the claims in developing all the
21 evidence.

22 **UNIDENTIFIED SPEAKER:** Okay.

23 **MR. FLOHR:** If you could provide us names of
24 doctors and whatever, we would try to get that
25 information.

1 **UNIDENTIFIED SPEAKER:** Can you tell me where and
2 what I go -- the VA office?

3 **MR. FLOHR:** Yeah.

4 **UNIDENTIFIED SPEAKER:** Okay. Okay. And thank
5 you, also.

6 **MR. WHITE:** Well hold on. There was also another
7 part to your question, I believe, that on the family
8 member, and what kind of health benefits that the VA
9 may provide. We've got a flyer on the table out here,
10 just so everybody knows here in the room also, about
11 where you can go to get more information for what the
12 benefits are and how to apply for those benefits. But
13 basically, if you have any of those 15 conditions and
14 you apply for benefits, we should be able to handle
15 the payment, so you won't have any out-of-pocket
16 expenses for treatment of those 15 conditions.

17 **UNIDENTIFIED SPEAKER:** Okay, thank you. And he
18 only (unintelligible) four years, so thank you.

19 **MR. WHITE:** You're welcome.

20 **DR. BREYSSE:** I'd just like to remind people,
21 we're going to have a public availability session
22 tonight, where representatives from the VA, ATSDR will
23 be out there. If you have individual health
24 questions, that might be the best opportunity to kind
25 of bring that up. You're free to kind of talk now but

1 I think the goal is to make sure that these questions
2 get answered, and if you have some private health
3 concerns or family diseases you don't want to talk
4 about in public, please take advantage of that.

5 **MS. SMITH-DAVIS:** Good afternoon. My name is
6 Carol Smith-Davis, and I'm a dependent child of a
7 honorably served Marine here at Camp Lejeune. I have
8 several siblings that also were exposed to the water
9 contamination; of course that's why we're here.

10 But my brother went into the Army when he got out
11 of high school and served there honorably, and he has
12 something that is presumptive on the list that -- from
13 the water contamination. When he did the filing, they
14 of course told him he did not rate any compensation
15 because he was exposed as a family member, but he is
16 an honorably served veteran that's not given
17 compensation for something that is on your presumptive
18 list.

19 So I'm just wondering, there seems to be a gap in
20 the system. You know that we have had dependent
21 children that have went into the military, and they're
22 going to -- he can't be the only one. So there are
23 going to be issues like this, and what are we going to
24 do to resolve those veterans that have honorably
25 served, maybe in other branches or in the Marine

1 Corps, that have these, these items that are on the
2 list, that are not going to be compensated because
3 they were exposed as children?

4 **MR. FLOHR:** That's a good question. He's a
5 veteran. He was on -- a dependent on Camp Lejeune?

6 **MS. SMITH-DAVIS:** Born and raised like the rest
7 of us.

8 **MR. FLOHR:** And I think he would still be covered
9 by our regulations.

10 **MS. SMITH-DAVIS:** He got denied.

11 **MR. FLOHR:** Well, he couldn't be denied yet
12 because the regulations just published.

13 **MS. SMITH-DAVIS:** But we -- so we put the claim
14 in for later, and he was denied because they said he
15 was a dependent. And so does he -- do he need to now
16 put in an additional claim that needs to go to the VA
17 and not family members program?

18 **MR. FLOHR:** Yeah, why don't you come see me after
19 while?

20 **MS. SMITH-DAVIS:** I'd be glad to, thank you.

21 **MR. PARTAIN:** So Brad, if I understand you right
22 in this case, her scenario is actually -- I've heard
23 of this before. I briefly served in the Navy myself,
24 and was born at Lejeune. So am I understanding
25 correctly that, if -- and I used myself, for example -

1 - if I was born on Lejeune or a dependent upon
2 Lejeune, and then went into the Navy, and then later
3 came down -- God forbid -- kidney cancer, then I could
4 be considered a service connected? Is that, is that a
5 gray area? I'm sure that's a gray area.

6 **MR. FLOHR:** Yes. It's the first time I've been
7 presented with that question. I'll have to think
8 about that and see how -- what the law would provide.

9 **MR. PARTAIN:** Thank you for bringing that up,
10 ma'am.

11 **MR. ORRIS:** So Brad, I mean, this would all be
12 solved if we would just stop treating dependents as
13 second-class citizens, and give the same compensation
14 and coverage to everybody.

15 **MR. FLOHR:** We would be happy to do that, Chris,
16 if Congress passed legislation allowing us to do that.

17 **MR. WHITE:** And I wanted to address that
18 question, also, Chris, because, as the program manager
19 of the family member program, let me assure you that
20 everybody that works in this program, they go into it
21 with the attitude of wanting to help and not hinder,
22 and wanting to provide the benefits that you guys are
23 entitled to, because of exposure that you should never
24 have been exposed to. So I want to make sure that I
25 correct them.

1 **MR. ORRIS:** Thank you, Brady.

2 **MS. TINA:** Yes, my name is Tina. I spent 24
3 years in the Marine Corps. I did the way
4 (unintelligible) the VA back last year in May. I just
5 found out today they pretty much put it on hold. It's
6 for breast cancer. My question is, will I have to --
7 it's not a presumptive disease, but I'm also having
8 preterm births and two miscarriages in the 80s, okay,
9 so is the VA going to look at that, my lifestyle, no
10 smoking, no drinking, will the VA look at that as part
11 as, okay, is this going to be pertaining to the water
12 contamination?

13 **MR. FLOHR:** Well, I'm sorry, but no, breast
14 cancer is not on the list of presumptives, so we would
15 have to have some evidence that would rise to the
16 level of at least the disability resulted from your
17 exposures at Camp Lejeune.

18 **MS. TINA:** So how do you do that, and then you
19 don't come to me face-to-face? I have a nine -- a
20 93-year-old grandmother, no breast cancer, my mom,
21 80-something, no breast cancer, sister and aunts,
22 80-something, no breast cancer in my family. But I
23 was here during that period. Breast cancer, preterm
24 births. Five months, five months (unintelligible),
25 and then two miscarriages. I don't drink -- well, I'm

1 not -- had a drink maybe. If I say I drank more than
2 twice a year, that would probably be a overstatement.
3 Never smoked. So I have no lifestyle issue regarding
4 breast cancer.

5 **MR. FLOHR:** Sure. Well, ma'am, I'm sorry. You'd
6 have to file a new claim, if you've been denied.

7 **MS. TINA:** I'm not looking at (unintelligible)
8 put it on hold. Put stuff on hold.

9 **MR. FLOHR:** They shouldn't have put that on hold.
10 We put -- we put on hold the ones who are presumptive.
11 So let me get with you later, give me some
12 information, and I'll see what's going on with your
13 claim, all right?

14 **MS. TINA:** All right.

15 **MR. PARTAIN:** And ma'am? Ma'am, over here. Can
16 you get with me before you leave too, please? Thank
17 you.

18 **MR. JACKSON:** Yes, my name is Brian Jackson, and
19 I grew up here in Jacksonville, North Carolina. I
20 was -- it was mentioned earlier that other areas would
21 be considered for exposure of contaminants. What I'm
22 wondering is those other areas considering those
23 communities outside of Camp Lejeune.

24 I grew up in a area, Bell Fork homes, and as I go
25 through that area it is so many people that died of

1 cancer in that area. Yes, some of them were Montford
2 Point Marines, so they were exposed to Agent Orange.
3 But you look at their wives and you look at other
4 people -- I go down my, my street, and I know four
5 people that died of pancreatic cancer, which includes
6 my brother. So I'm wondering, are you considering,
7 'cause, you know, I know there's been other areas of
8 other bases that have had contamination, do you
9 consider those areas outside of the base, and if you
10 are -- if you do, what are you going to do about it?
11 What's the remedies for that? Have you considered
12 remedies for it?

13 **DR. BREYSSE:** I think that's a broader Department
14 of Defense issue rather than a VA issue. So ATSDR's
15 working with the Department of Defense on
16 contamination on a number of military bases across the
17 country where the primary exposure is to people off
18 site of the base, and so I'd like to know more about
19 where is this neighborhood?

20 **MR. JACKSON:** It's within a mile -- it's within a
21 mile of -- less than a mile of -- it's at Fort Camp
22 Knox, Knox trailer park. TT? You know, and then you
23 start dealing with those neighborhoods around it. I
24 got family members that have cancers that --
25 unexplained cancers that --

1 **DR. BREYSSE:** So this is an area close to Camp
2 Lejeune.

3 **MR. JACKSON:** Yeah, it's close to Camp Lejeune.

4 **DR. BREYSSE:** Okay.

5 **MR. JACKSON:** Yeah, yeah it's close to it. I can
6 talk to you later about it.

7 **DR. BREYSSE:** Why don't we do that.

8 **MR. JACKSON:** And also, you know, I was a
9 advocate so I wanted to mention that you could also
10 file with some of the service organizations, 'cause
11 one of the ladies mentioned about filing claims with
12 VA as well as maybe you could touch on accrued
13 benefits for the young lady that came up here first,
14 said, you know, just in case her father did pass. And
15 you know, if they filed a claim and that claim stays
16 active, that her mother could also receive the benefit
17 that had started with that, and then (unintelligible).

18 **DR. JOHNSON:** Just a follow-up question. The
19 area that you were describing, the area you were
20 describing, was that an area where there were private
21 wells?

22 **MR. JACKSON:** No, not at that -- I know my
23 neighborhood didn't have a well.

24 **DR. JOHNSON:** Okay, so they would've been on city
25 water?

1 **MR. JACKSON:** Yeah.

2 **DR. JOHNSON:** Interesting, okay.

3 **MR. ORRIS:** Yeah, one more question. Is that
4 near the ABC One-Hour Cleaner?

5 **MR. JACKSON:** It's on that side of the street
6 though.

7 **MR. PARTAIN:** Yeah, one of the things I want to
8 point out to you, as an advocate myself, you know,
9 you've got to identify the source, or a source, or
10 something that has either been deposited into the
11 ground or migrated into the areas. Like in the case
12 of ABC Dry Cleaner was one of the sources for
13 contamination at Tarawa Terrace. The dumping that
14 took place on the premise there migrated into, you
15 know, the base housing area.

16 **MR. JACKSON:** Right.

17 **MR. PARTAIN:** So I'm not aware -- I've done a lot
18 of research and reading through documents. I'm not
19 aware of anything migrating out but that doesn't
20 preclude the possibilities.

21 **MR. JACKSON:** Well, if they're -- if they're
22 monitoring near Brynn Marr, then I'm sure that some of
23 those exposures is going to Bell Fork Homes. Okay, we
24 can talk later.

25 **MR. PARTAIN:** Yeah, we'll talk about it.

1 **MR. JACKSON:** All right, thank you.

2 **MS. HILL:** Good afternoon, my name is Ernestine
3 Hill, and I'm here just to ask a few questions. My
4 husband died 1998 with lung, throat and brain cancer.
5 I fill out papers and sent them to D.C. Like they
6 asked for all my husband's papers from the hospital,
7 and I sent them to them. So what am I supposed to do
8 now? Because I also received a letter -- I also
9 received a letter from D.C., from the, what is it, the
10 general -- judge advocate. And that was just before
11 Christmas that I talked to him. So where do I -- who
12 do I talk to over here?

13 **DR. BREYSSE:** You should talk to -- who can help
14 her? Talk to Brad with more specific information
15 about --

16 **MS. HILL:** Well, I can go ahead and talk with him
17 right now?

18 **DR. BREYSSE:** Maybe wait for a break.

19 **MS. HILL:** Okay, thank you.

20 **DR. BREYSSE:** So how much time do we have left?

21 **MS. MUTTER:** We have seven minutes.

22 **DR. BREYSSE:** Okay.

23 **MS. KRAMER:** I'll make this as painless as
24 possible. My name is Sarah Kramer. First I want to
25 apologize because when it comes to my husband my

1 composure don't always hold. Here we go. I am the
2 widow of United States Marine Corps Lance Corporal
3 Carl Kramer. I'm a resident of Florida, and I came
4 here today to get some long overdue answers, which I
5 know I'm not going to get.

6 I have a couple of questions. First, the
7 Department of the Navy, Camp Lejeune and the VA, you
8 have stripped my life of everything. You've taken my
9 husband and you've taken my home. How much more does
10 the VA want from me? I have no more to give.

11 I have my husband's SME report. This report
12 contained so many discrepancies it's as though a ten-
13 year-old had wrote it. My husband was a United States
14 Marine veteran. Your SME states that he also retired
15 from the Army. My husband was talented, but to be in
16 two branches at the same time, simultaneously, that's
17 a feat he couldn't have pulled off, even though he'd
18 argue with you.

19 Your SME states that I was denied because of
20 my -- my husband's alcohol overuse. He didn't drink.
21 Where you coming from? I didn't have the template
22 that was sent in here earlier. What you give your
23 SMEs to use in order to deny a claimant, you say you
24 use BMI? You also said my husband had an elevated
25 BMI, so you said he was a fat alcoholic. He had an

1 elevated BMI, alcohol overuse, the man didn't drink.
2 Yes, he smoked cigarettes. And it was just told to me
3 the other day, I don't know the correct word 'cause I
4 wasn't in the corps or any branch, but back in the
5 70s, when y'all gave rations to these Marines and
6 soldiers, didn't y'all also contain four cigarettes in
7 there?

8 **MULTIPLE SPEAKERS:** Yes.

9 **MS. KRAMER:** Did you not? So you blame my
10 husband for smoking? And the military supplied the
11 cigarettes. Y'all make no sense.

12 One more thing, last month I drove a thousand
13 miles to visit your Louisville regional office, and I
14 really don't need this paper I got written in my hand.
15 But the one thing I want to ask -- I have a letter
16 from my husband's personal oncologist. His
17 credentials are out the door. I did as much research,
18 along with someone I had met in this room, on the
19 credentials of your SME. Your SME is an internal
20 medicine doctor with credentials that -- well, they're
21 not very impressive. My husband's oncologist, in his
22 opinion those chemicals caused his cancer. You still
23 deny me. I heard Mr. Flohr say earlier --

24 **DR. BREYSSE:** What kind of cancer was it, ma'am?

25 **MS. KRAMER:** Sir?

1 **DR. BREYSSE:** His cancer?

2 **MS. KRAMER:** He had esophageal cancer, stage IV.
3 He died ten weeks after he was diagnosed. A year
4 later I lost my home. I heard Mr. Flohr say earlier
5 that, when an oncologist -- that you want more
6 studies. The VA, not you personally, Mr. Flohr, but
7 the VA, you're challenging my husband's oncologist
8 with a family practice doctor. That's ridiculous.
9 Where do you guys -- three years. In a couple more
10 months it'll be three years. I'm on disability. I
11 struggle to live. I struggle to buy medicines, and
12 you deny me DIC, and reimburse me the final expenses.
13 I'm sorry, you paid me \$300. I'm sorry that didn't
14 work. Because you won't deem it's service-connected.

15 The other thing is I drove a thousand miles last
16 month -- I don't need this. I drove a thousand miles
17 last month, and stood outside of your Louisville
18 regional office holding up a sign: Camp Lejeune
19 widow. My sister was visiting at the time. She held
20 up the other one: VA denies all Camp Lejeune claims.
21 I wasn't out there 20 minutes, someone come out of
22 your high-rise building and invited me in. And I know
23 it was just to get me off the streets. But it didn't
24 work very long 'cause I was back out there again.

25 Mr. Bob Clay. You know, the interesting thing

1 with your VA employee, me and my sister stood there
2 and had a meeting with him, and I told Mr. Clay, I
3 said, Mr. Clay, I got something to ask you. I said, I
4 was at Camp Lejeune last month, and downstairs in my
5 car, if you'll allow me to go down and get it, I have
6 two bottles of water that I got from the tap water at
7 Camp Lejeune. If I go downstairs and get it and bring
8 it up here to you, will you drink it? That man
9 slammed both hands, and my sister was there to witness
10 it, and if that video camera behind his back was
11 really filming me, it can prove it. He slams his
12 hands down on the desk, and says, absolutely not. If
13 it's not harmful anymore what's happening to these men
14 and women and civilians on that base today? What's
15 happening? Are they going to be in my spot in 30
16 years? Home -- well, I'm not homeless, but I've lost
17 my home, everything my husband and I worked for. But
18 why did Mr. Clay not want to drink that water? All he
19 wanted to do was apologize.

20 **DR. BREYSSE:** I'm very sorry.

21 **MS. KRAMER:** I want my benefits. I deserve them,
22 and I've proved it.

23 **DR. BREYSSE:** I encourage you to maybe talk to
24 one of the VA reps to see if they may help you while
25 you're here.

1 **MS. KRAMER:** They won't do me any good. They're
2 just going to tell me what I want to hear.

3 **DR. BREYSSE:** I'm sorry.

4 **MS. KRAMER:** Thank you.

5 **DR. BREYSSE:** We have time for two more
6 questions?

7 **MR. JOHNSON:** My name is Gregory Johnson. I
8 served 22 years, aboard Camp Lejeune most of those
9 years there. I am of the opinion that when a military
10 person serves, their family serves. My wife served
11 right along with me. When we leave the military, and
12 we retire, our wives get recognized for the many years
13 that they have stood by our sides during all that time
14 and many deployments. And in that time -- and I just
15 heard you all speak about it. No one has -- you kept
16 saying that you're not going to recognize our
17 children.

18 I lost my daughter to two of the eight cancers.
19 She was diagnosed at 18 and died the day before her
20 21st birthday. I had letters from the oncologist that
21 simply says in order for that cancer to have been as
22 aggressive as it was, she had to have come in contact
23 with those volatile compounds. Through their
24 registry, through what they have and the things that
25 you all have said here, what are they going to do? I

1 understand that you all have two billion dollars, but
2 that's on the VA side of the house. That's on the
3 medical side of the house. That's the doctors and the
4 bills. Are they talking about what they're going to
5 do about those who actually have lost dependent loved
6 ones? People.

7 **DR. BREYSSE:** I think at least we heard this.
8 Everybody acknowledges that that's a gap in what's
9 being done right now. And everybody's been trying to
10 figure out the best way forward to make that
11 available. But it sounds like it's going to require
12 Congressional action. So you were encouraged earlier,
13 by members of the CAP and by the VA, to reach out to
14 your Congress people and make this issue known to
15 them. If they ask me about it, I will very clearly,
16 if I'm asked to brief any staff or Congressman, I'll
17 acknowledge this is a lesion, this is a hole in the
18 program. And I think we all just have to commit
19 ourselves. I know the CAP is committed to do what
20 they can to address this problem. As Jerry said early
21 on, from his perspective, they're not done, and
22 they're not done exactly because of this.

23 **MR. JOHNSON:** Second concern --

24 **MR. PARTAIN:** Sir, real quick. My name is Mike
25 Partain. I was born at the base, so was Chris here,

1 and Danielle and Lori were dependents on the base too.
2 We haven't forgotten the dependents, and we had to --
3 you know, you take a journey one step at a time. And
4 I do appreciate you coming up here and bringing this
5 up, because it is the next step. Just out of
6 curiosity, was your daughter born at the base?

7 **MR. JOHNSON:** She was not born at the base.

8 **MR. PARTAIN:** Okay, how old was she when she came
9 aboard the base?

10 **MR. JOHNSON:** She was three years old, possibly.

11 **MR. PARTAIN:** Okay. And I would like to talk to
12 you too later on. I know you've talked to Jerry,
13 but...

14 **MR. JOHNSON:** I've heard you all mention 15
15 different types of illnesses. A question, was one of
16 those 15 diverticulitis?

17 **DR. BREYSSE:** No.

18 **MR. JOHNSON:** Okay, just wanted to know, 'cause I
19 had thought I had read something where it said that if
20 you came in contact with those compounds it possibly
21 would've been there.

22 **DR. BREYSSE:** All right, thank you.

23 **UNIDENTIFIED SPEAKER:** Hi, I just want a
24 clarification. You had said that when a widow's
25 husband passes away that she's entitled to benefits.

1 My dad passed away, and I was told that the claim dies
2 with him, and we can't file on his behalf anymore, and
3 my mom doesn't have nothing. And I don't know if
4 that's true or not because he was in service, disabled
5 at the time.

6 **MR. FLOHR:** No, that's not true. When a veteran
7 dies, if -- and a claim is filed, then we will look at
8 it, look at the cause of death, see how it possibly
9 could be related to service. If he had one of the
10 eight presumptive, then that's -- it would be
11 automatically entitlement to death benefits.

12 **UNIDENTIFIED SPEAKER:** (Unintelligible) the death
13 was a (unintelligible). But he had Crohn's disease
14 that was affecting him very severely. And he was just
15 going to get his (unintelligible).

16 **MR. FLOHR:** I see. Well, like I say, your
17 mother, his spouse, can file a claim at any time. And
18 we'll look at it. We'll develop it. We'll look at
19 his service records. If you have any medical evidence
20 from treating physicians that might -- would be
21 willing to provide an opinion to us saying I believe
22 the veteran's disability resulted from something at
23 his service somehow, we'll look at that as well.

24 **UNIDENTIFIED SPEAKER:** Okay, my cousin had
25 mentioned that that was the better thing too, because

1 she had said one of the three of us kids has tons of
2 medical issues wrong with us. And it showed up when
3 we were teenagers. So we were talking about filing a
4 claim for that. So would they still be able to try to
5 do that or?

6 **MR. FLOHR:** You're talking about for, for --

7 **UNIDENTIFIED SPEAKER:** For the --

8 **MR. FLOHR:** -- for dependents?

9 **UNIDENTIFIED SPEAKER:** Yeah, for the dependent
10 that was under 18 at the time that the disabilities
11 started.

12 **MR. FLOHR:** Well, the VA doesn't have the
13 authority to compensate dependents.

14 **UNIDENTIFIED SPEAKER:** Okay.

15 **DR. BREYSSE:** This is the same issue we just
16 explored but --

17 **UNIDENTIFIED SPEAKER:** (Unintelligible) and
18 there's a dependent thing.

19 **MR. FLOHR:** If the veteran -- if the veteran was
20 alive, filed a claim and he was getting benefits, he
21 would be entitled to additional benefits for spouse
22 and children under the age of 18.

23 **UNIDENTIFIED SPEAKER:** Well, they have children
24 that were disabled. I just read it in the book. It
25 says if you're disabled before the age of 18 --

1 **MR. FLOHR:** Yes, but that's -- that's just to
2 provide additional compensation for the veteran.

3 **UNIDENTIFIED SPEAKER:** Oh, okay. Thanks.

4 **DR. BREYSSE:** We have one last question or
5 comment?

6 **UNIDENTIFIED SPEAKER:** To the CAP board, thank
7 you. Thank you. My voice will tremor. I am a
8 Parkinson's survivor, Camp Lejeune dependent. Anyway,
9 but my question is about the SME, okay, because we're
10 experiencing that with my husband. And being that
11 subject matter expert is really difficult to get
12 through to your -- to that person. I know that's one
13 of the things we've had with our issue. I didn't
14 realize I should've brought it to you all. But the
15 problem is, is that my husband's got mononeuritis
16 multiplex, and -- a neurological disease. However, in
17 his claim it's requiring, and it's not a Camp Lejeune
18 water, but it's his claim is requiring that he has
19 fibromyalgia or, what's the other one? I think the
20 other one -- I forget it right now and I apologize for
21 that. But anyway his claim is saying that. And his
22 doctor, who is very talented, very renowned within the
23 United States, has said -- her words to me when I told
24 her the VA denied it, were: I can't believe this BS.
25 Don't they realize that in order to have this he's

1 already had the fatigue? He's already had the
2 fibromyalgia. All of those things have already
3 occurred in this individual.

4 But now, to try to go back and make all of this
5 happen is another -- just one more huge process that
6 has to be done. And almost -- to let you know, we're
7 just trying to fight for life. He, he -- every two
8 weeks (unintelligible) every month chemotherapy.
9 Every week two to three doctor appointments.

10 **MR. FLOHR:** Is your husband a Gulf War veteran?

11 **UNIDENTIFIED SPEAKER:** Yes.

12 **MR. FLOHR:** Okay, that's what I figured.

13 Congress did pass legislation creating presumptions
14 for Gulf War veterans who have either an undiagnosed
15 illness or what they call a medically unexplained
16 chronic multi-symptom illness, and the law gives for
17 an example, fibromyalgia, chronic fatigue syndrome and
18 one irritable bowel syndrome, or functional gastro-
19 intestine disease. And if he has one of those and
20 served in the Gulf, then he should be service-
21 connected for it.

22 **UNIDENTIFIED SPEAKER:** Yes, but again, because
23 his record showed mononeuritis, and it didn't show the
24 fibromyalgia, the chronic fatigue, which are all
25 systems of mononeuritis, and that's what his doctor

1 was trying to say. If these panel experts were, her
2 words not mine, true doctors, they would've known that
3 this was automatically something that's already
4 transpired in this individual.

5 **DR. DINESMAN:** Come talk to me on the break. Let
6 me see if I can't help you out.

7 **UNIDENTIFIED SPEAKER:** Okay. And for all of you
8 that are out in the audience, I certainly do encourage
9 you. I know that I went back after the -- after the
10 panel met in Tampa, and I met with each one of my
11 Congresswoman and senators' offices. And I went there
12 because, as dependents, we had to live aboard this
13 base 30 days. Shame on them. I know I had so many
14 friends that never lived aboard this base, but they
15 were there. We played ball together. Our kids drank
16 out of the water up there. Every activity back in
17 those days was on Camp Lejeune. There was nothing in
18 Jacksonville. Everything was aboard the base. We
19 went to the base to do everything. So I strongly
20 encourage you, it's going to take our voices for this
21 to change. And it is one step at a time. Thank you,
22 CAP. Thank you, VA. I know this is hard.

23 **DR. BREYSSE:** Well, with that, I want to thank
24 you for your voices. They're crucial to what we do.
25 And so this -- I'll adjourn our CAP meeting. And if

1 people are interested there'll be a public
2 availability session from five to six. And the public
3 meeting from six to eight. And Jamie?

4 **MS. MUTTER:** Yeah, I just want to remind the CAP
5 and all you going on the tour, this is not open to the
6 public but if you are going on the tour, and have been
7 preapproved, you need to be there at 1:20, no later at
8 the front gate.

9
10 (Whereupon the meeting was adjourned at 12:07 p.m.)
11

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Master Court Reporter, do hereby certify that I reported the above and foregoing on the day of January 21, 2017; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 18th day of February, 2017.

**STEVEN RAY GREEN, CCR, CVR-CM-M, PNSC
CERTIFIED MERIT MASTER COURT REPORTER
CERTIFICATE NUMBER: A-2102**