

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

THIRTY-FOURTH MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

March 24, 2016

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at the ATSDR, Chamblee Building 106,
Conference Room B, Atlanta, Georgia, on
March 24, 2016.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

March 24, 2016

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS DR. PATRICK BREYSSE	5
ACTION ITEMS FROM PREVIOUS CAP MEETING PERRI RUCKART	7
UPDATES ON HEALTH ASSESSMENTS RICK GILLIG	45
UPDATES ON HEALTH STUDIES PERRI RUCKART, FRANK BOVE	108
CAMP LEJEUNE CAP CHARTER OVERVIEW SHEILA STEVENS	119
VA UPDATES BRAD FLOHR, DR. LOREN ERICKSON	124
CAP UPDATES AND CONCERNS CAP MEMBERS	177
SUMMARY OF ACTION ITEMS SHEILA STEVENS	184
QUESTIONS FROM AUDIENCE DR. PATRICK BREYSSE	187
WRAP-UP SHEILA STEVENS	200
COURT REPORTER'S CERTIFICATE	201

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

BOVE, DR. FRANK, ATSDR
BREYSSE, DR. PAT, NCEH/ATSDR
CANTOR, DR. KEN, CAP TECHNICAL ADVISOR
CORAZZA, DANIELLE, CAP MEMBER
ENSMINGER, JERRY, COMMUNITY MEMBER
ERICKSON, DR. LOREN, VA
FLOHR, BRAD, VA
FORREST, MELISSA, NAVY/MARINE CORPS
FRESHWATER, LORI, CAP MEMBER
GILLIG, RICK, ATSDR
HODORE, BERNARD, CAP MEMBER
ORRIS, CHRISTOPHER, CAP MEMBER
PARTAIN, MIKE, COMMUNITY MEMBER
RUCKART, PERRI, ATSDR
STEVENS, SHEILA, ATSDR, CAP LIAISON
TEMPLETON, TIM, CAP MEMBER
UNTERBERG, CRAIG, CAP MEMBER
WILKINS, KEVIN, CAP MEMBER

1 help us remember to do that, that'd be great.
2 (inaudible comment from group) I think so, yeah.
3 Or, you know, maybe your just initials, but last
4 name's probably -- last names should be fine.

5 So we start each meeting with introductions, go
6 around the table, make sure we say who's here. So
7 I'll start. I'm Pat Breyse; I the director of the
8 ATSDR today, but I'm also the director of the
9 National Center for Environmental Health on other
10 days of the week. Kevin, you want to start over to
11 you?

12 **MR. WILKINS:** Kevin Wilkins, CAP member.

13 **MR. TEMPLETON:** Tim Templeton, CAP.

14 **MS. CORAZZA:** Danielle Corazza, CAP.

15 **MR. HODORE:** Bernard Hodore, CAP.

16 **MR. ORRIS:** Chris Orris, CAP.

17 **MR. GILLIG:** Rick Gillig, ATSDR.

18 **MS. RUCKART:** Perri Ruckart, ATSDR.

19 **MS. FRESHWATER:** Lori Freshwater, CAP.

20 **MR. PARTAIN:** Mike Partain, CAP.

21 **MR. ENSMINGER:** Jerry Ensminger, CAP.

22 **DR. CANTOR:** Ken Cantor, CAP.

23 **MS. FORREST:** Melissa Forrest, Navy/Marine
24 Corps Public Health Center.

25 **MR. FLOHR:** Brad Flohr, VBA.

1 **DR. ERICKSON:** And Ralph Erickson, Veterans'
2 Affairs.

3 **DR. BREYSSE:** Excellent. And as other people
4 come up for different parts of the agenda, they'll
5 introduce themselves at that time. But we have a
6 number of colleagues from ATSDR sitting around the
7 room.

8 But I'd like to begin -- if there's no
9 questions about the agenda we're trying to cover
10 today -- has everybody had a chance to look at it?
11 I know we sent it out in advance. I just want to
12 make sure. If there's no questions about the
13 agenda, can we start with the action items from the
14 previous CAP meeting. And I'll turn the floor over
15 to Ms. Perri Ruckart.

16

17 **ACTION ITEMS FROM PREVIOUS CAP MEETING**

18 **MS. RUCKART:** Morning, this is Perri, I'm going
19 to just start... Oh, yes, Jerry just reminded me we
20 should ask people to mute your phone, just to cut
21 down on background noise. Thank you.

22 So I just want to start off by going over the
23 action items from the last meeting. I'll start with
24 items for the VA: Dr. Clancy will confirm that VA
25 acknowledges the IARC, EPA and NTP findings on TCE

1 carcinogenicity, and that training for SMEs includes
2 the cancer classification of these compounds; for
3 example, that these agencies stated that TCE causes
4 kidney cancer so that reasons for denial don't
5 include that it is unclear whether TCE causes kidney
6 cancer.

7 **DR. ERICKSON:** This is Erickson, and that
8 information has been transmitted to the appropriate
9 folks in the VA, to make sure that they have that.

10 **MS. RUCKART:** Okay, great. Perri again.
11 Dr. Clancy will clarify the relationship between the
12 ICD-10 codes and the VA's unique codes for
13 conditions.

14 **DR. ERICKSON:** I'm not sure what that due-out
15 means.

16 **MS. RUCKART:** Perri again. There was a lot of
17 discussion last time about how the VA has unique
18 codes, and the CAP was just wondering how they
19 relate to ICD-10 codes.

20 **MR. FLOHR:** This is Brad. If you're talking
21 about the diagnostic codes that we use to identify
22 conditions in making decisions, we have nothing to
23 do with that.

24 **MR. TEMPLETON:** This is Tim Templeton. When we
25 were having the discussion about that, you guys

1 probably remember I was kind of leading that piece
2 of the discussion and talking with Dr. Clancy, she's
3 mentioned that VHA does use the ICD-9, -10, probably
4 -10 now.

5 **MR. FLOHR:** They do, for like treatment
6 purposes, yeah.

7 **MR. TEMPLETON:** Right, right. So she said that
8 there was some correlation between the two, like a
9 cross by reference between some of those.

10 **MR. FLOHR:** Now, we have about 800 unique --

11 **MR. TEMPLETON:** Right.

12 **MR. FLOHR:** -- diagnostic codes that identify
13 conditions that are used in making their decisions,
14 but they have nothing to do with that.

15 **DR. BREYSSE:** Has there been an attempt, just
16 so we're clear, to, you know, to cross-walk the two
17 codes, so if you looked up a code in the one side
18 they could translate it to what an ICD-10 code would
19 be? I guess that's the gist of the question.

20 **MR. FLOHR:** I don't know what purpose that
21 would be what for.

22 **MR. TEMPLETON:** I mean, as Perri -- this is Tim
23 again -- as Perri was pointing out, it wasn't really
24 a question. Dr. Clancy said that there was, so I
25 guess now we're hearing differently. I guess the

1 question would go back to Dr. Clancy.

2 **MR. FLOHR:** Yeah, we'll take that back and talk
3 to her about it.

4 **MS. RUCKART:** Perri again. This is for Brad.
5 The CAP requested that Brad Flohr provide an update
6 on the most recent breast cancer claims, including
7 how many were determined diagnostically to have the
8 condition, and how many were approved and denied. I
9 believe you sent something out.

10 **MR. FLOHR:** Yeah. This is Brad. I believe I
11 sent it to you or Sheila. Yeah.

12 **MS. RUCKART:** Did the CAP get that? Yeah, that
13 was --

14 **MR. FLOHR:** Yeah, the CAP's got that.

15 **MS. RUCKART:** Yeah.

16 **MR. FLOHR:** A couple months ago.

17 **MS. RUCKART:** I think I sent it a week ago, or
18 maybe that was the early one I sent back in January.

19 **MR. FLOHR:** Yeah, probably.

20 **MS. RUCKART:** Yeah.

21 **MR. PARTAIN:** Brad, this is Mike Partain.
22 Quick question on the male breast cancer stats. I
23 believe it was 124. Are those 124 confirmed cases
24 of male breast cancer or tumors, or what was the
25 breakdown on that number?

1 **MR. FLOHR:** You know, I don't have that report
2 with me so I can't answer that right now, Mike. But
3 when we looked at the -- went through our data and
4 pulled out the diagnostic code we used for breast
5 cancer, we found out that many of those conditions
6 actually were not breast cancer; they were something
7 else. And I don't recall off the top of my head how
8 many actually were male breast cancer, but it was
9 less than that.

10 **MR. PARTAIN:** Could you find out for sure and
11 provide that to us?

12 **MR. FLOHR:** Absolutely.

13 **MR. PARTAIN:** Thank you.

14 **MS. RUCKART:** Perri again. The CAP requested
15 that Brad Flohr clarify what it means to not fully
16 rely on the NRC report and that he would determine
17 what weight is currently being put on the NRC
18 report. The CAP also requested that the VA justify
19 why the report is still being used to determine
20 claims.

21 **MR. FLOHR:** This is Brad. When we request a
22 medical opinion from VHA, they review every
23 available piece of information on that particular
24 condition that they're looking at. It would include
25 not just the NRC report but it would include IARC

1 reports, NTP reports, EPA reports. They look at
2 everything.

3 **MS. FRESHWATER:** Brad, this is Lori Freshwater.
4 That's a really generic answer, so that tells me
5 that you basically did not look into the question,
6 which is fine, but what I want to know is why is bad
7 science, why is that still being cited? You could
8 say yes, we looked at Wikipedia and cited that in a
9 denial, which is true, but I don't think you'd want
10 to justify that to me today. So what I'd like you
11 to do is justify that you're still using that
12 report, and tell us why it hasn't been removed as a
13 source, why are you still using it? Why -- I mean,
14 why would you use Wikipedia? So I don't understand
15 why you can't come back and say give me something
16 specific as to why that seems to be something that
17 you still cite.

18 **MR. FLOHR:** Lori, I, I'm not in charge of VHA
19 examiners. I can't tell them what to do.

20 **MR. ENSMINGER:** This is Jerry Ensminger. You
21 guys -- the VA commissioned an IOM review of Camp
22 Lejeune. And you know, amazingly that thing just
23 fell out of the woodwork. Where'd it go? I mean,
24 you were supposed to have done a wash-up of that
25 report, and come out with a statement of your own

1 regarding that report, and it's like the thing
2 dropped into a black hole. Where is that report? I
3 mean, why aren't you using it?

4 **DR. ERICKSON:** So point of order. There is a
5 point in time in this agenda for VA updates at which
6 point I can address that issue. I don't know if you
7 want all of us to steer from action items to new
8 items at this point. Dr. Breyse, I ask for your
9 guidance at this point.

10 **DR. BREYSSE:** I think if it's relevant to the
11 action items. I think we can probably deal with a
12 new item at this point. As long as people don't
13 mind if we have a little bit of a -- maintain a
14 little flexibility with the agenda to have the
15 discussion and go where it needs to go.

16 **DR. ERICKSON:** Okay, so the action item has to
17 do with the 2009 NRC report and how it's being cited
18 or why is it still being cited. And the question
19 now has to do with the IOM's review of the clinical
20 practice guidelines, which is an entirely separate
21 issue. The update that I will give you is that it's
22 at the final stage of staffing. As is the case
23 frequently in government agencies, it's with our
24 lawyers right now, and they are very careful with
25 every adjective that's used, even though it's

1 primarily a clinical piece of policy. We've
2 discussed this a little bit. I know Danielle, you
3 had a number of questions about this at the last CAP
4 meeting. My sense is that folks will be very
5 satisfied as it comes out, that it's simply not
6 finished in staffing at this point.

7 It's not propped and buried, and it's not been
8 forgotten. I will tell you that the folks who
9 actually work those issues, as it relates to
10 executing the 2012 law, are the same people who
11 helped to fix a number of things to make them much
12 clearer in the rewrite of the clinical guidelines.

13 **DR. BREYSSE:** Okay, so this is Breysse. So I
14 think that addresses Jerry's question about the IOM
15 report or about the clinical practice guideline. So
16 we'll hear more about that in the future.

17 **MR. ENSMINGER:** Well, and the reason I brought
18 that up was because that IOM report should go
19 hand-in-hand with what this -- doing away with this
20 NRC piece of crap that was issued back in 2009.

21 **DR. BREYSSE:** So the question, I think, is
22 still on the table about --

23 **MR. ENSMINGER:** And the clinicians should be
24 told use the IOM, not the NRC.

25 **MS. FRESHWATER:** And I would like to put in a

1 formal request, I guess, because I don't want this
2 to get moved again to another action item, and then
3 hear this same exact answer again. So I would like
4 to request that that NRC report not be used, not be
5 cited, and tell me whatever I need to do, whatever
6 follow-up I need to make or the CAP needs to make to
7 make that happen. Lori Freshwater.

8 **MR. PARTAIN:** This is Mike Partain.
9 Dr. Erickson, just out of curiosity, all the
10 processes and reviews that you're describing on the
11 IOM report, were the same processes and reviews done
12 for the NRC report that you guys so readily use in
13 Camp Lejeune's decisions? I understand it was done
14 by the NRC but -- I mean, do we have -- are we
15 comparing apples to apples here?

16 **DR. ERICKSON:** Whether -- it's apples and
17 oranges in the following way, and I know this --
18 what I'm about to say is a little bit complex in
19 that the adjudication of claims, as it relates to
20 veterans' claims and such, is an entirely separate
21 process from the working of claims that relate to
22 the 2012 law. Okay, there are two separate pathways
23 within VA.

24 The first being one that Brad is able to speak
25 to, and relates to primarily just veterans and

1 relates to compensation, what could be a check that
2 arrives every month in addition to healthcare, et
3 cetera. The second is the 2012 law, which is very
4 narrowly prescribed in the law as the 15 conditions,
5 and to who -- you know, what the dates are, et
6 cetera and who qualifies, but also includes, not
7 just veterans but family members.

8 The challenge here is that, in complying with
9 the law, the 2012 law, the VA is constrained to
10 follow very specific rules and such, and that is
11 what the IOM review of the clinical guidelines went
12 to, was how VA would then interpret what are those
13 15 conditions, and what would be covered by the 2012
14 law. I apologize if that sounds like double-speak,
15 but as is so oftentimes the case with federal
16 agencies, and in this case Veterans' Affairs, we're
17 bound by very specific aspects of that 2012 law, and
18 so there's a separate process to make sure that we
19 stay within the boundaries of what's called for.

20 **MR. PARTAIN:** Well, that goes back to the
21 question, though. The NRC report was pretty much
22 readily used with the VA soon after its publication,
23 and it just appears that, because the IOM report has
24 some language in there that doesn't jibe with what
25 the VA's doing, it's being put through a much more

1 arduous process. I didn't see any reviews by the VA
2 on the NRC report. There wasn't any delay. There
3 wasn't any, let's look at it closer, let's have our
4 lawyers check the adjectives, the commas, the
5 periods and what have you. Out of curiosity does
6 the VA have their extensive bibliography that the
7 examiners are using available, so we can see what
8 they're looking at? And is the IOM report on that
9 bibliography that these examiners are using?

10 **MR. FLOHR:** This is Brad. Mike, I don't know
11 that. I don't know if there's a bibliography. The
12 people that provide medical opinions work for a
13 different part of VA than both Loren and I do. But
14 we could find out.

15 **DR. ERICKSON:** So there are, again, two
16 different pathways here. I'm going to start with
17 the first part, Mike, if I can remember your complex
18 question. I wasn't with VA at the time that the NRC
19 report -- when it came out. I wasn't part of VA
20 when it was processed and when it was brought into
21 the flow of the work of VA, so I can't really speak
22 to whether or not something was more comprehensive
23 or more deeply done or delayed. I just don't know.

24 I will tell you that, as it relates to the 2012
25 law and the specifics of that law, we do have

1 clinical guidelines that provide very specific
2 guidance and reproducibility toward the medical
3 examiners of those records for the claims that come
4 under the 2012 law. I will tell you that for them
5 it's not -- for the 2012 law piece it's not a matter
6 of looking at a deep bibliography because, for those
7 medical evaluators, it's does the person filing the
8 claim qualify based upon, you know, the dates, the
9 eligibility issues? Do they actually have medical
10 evidence of having one of those 15 conditions? Are
11 these additional claims that relate -- that are
12 being filed, do they relate to that condition? This
13 is something that we'll show some slides on,
14 Dr. Breyse, here during our time. And so that's a
15 very prescribed process related to 2012.

16 As it relates to claims for compensation to
17 veterans that are separate from the 2012 law, I
18 believe there is a bibliography. I thought this
19 perhaps had been shared. I apologize that it
20 hasn't. We'll make this a due-out for us to send
21 this to you. I will tell you that, as a general
22 rule, you know, we don't have a degree of censorship
23 that involves, you know, approved sources of, you
24 know, what can be on a bibliography and what cannot,
25 though I will tell you that we are continually

1 working with that separate office that handles the
2 medical review for those claims for those veterans,
3 again, a separate pathway from what Brad and I are
4 involved with. But we'll try and get that for you.
5 So Sheila, if you would put that on our list.

6 **MS. RUCKART:** This is Perri. The next item was
7 about the bibliography. The CAP requested that the
8 VA make public the bibliography of studies used by
9 the SMEs for determining claims. So that's what
10 we're still talking about?

11 **MR. ENSMINGER:** Yeah. I mean, and, you know,
12 the previous director of ATSDR, Dr. Chris Portier,
13 issued a letter in October of 2010 regarding the
14 faults with the NRC report, and I know the VA got a
15 copy of that. And has that been provided to your
16 so-called subject matter experts? Have they
17 received a copy of that letter?

18 **MR. FLOHR:** Jerry, this is Brad. I don't know.

19 **DR. BREYSSE:** So can I -- this is Pat Breysse.
20 So our position on that letter was -- on that report
21 was drafted prior to my tenure here, and we stand
22 behind that assessment. But I think in addition to
23 that, it seems now that the report is old, all
24 right, it's dated, and there's literature that has
25 superseded that. And while I don't think we want

1 to, Lori, I think, tell the reviewer it can't look
2 at a piece of information like the report, I think,
3 you know, it should be clear to them that it is
4 aged, that it is outdated, and there is probably
5 more recent things that should be given greater
6 weight than that report.

7 **MS. FRESHWATER:** This is Lori Freshwater. I
8 understand. I agree, and I understand what you're
9 saying, but I guess what I'm thinking about on a
10 common-sense level, how do we put that into the
11 bureaucratic system of the VA when we can -- we
12 can't even get the bibliography from last time, when
13 we asked for it, and none of the questions so far
14 that were action items have even been looked into.
15 So how do we get some nuance into what the examiners
16 are looking at?

17 **DR. BREYSSE:** Yeah, I understand. And I can't
18 speak to what the examiners looked at. But I do
19 know that if I was one, I would not appreciate it if
20 somebody said don't -- you know, discount this
21 report. Don't look at this report. But hopefully
22 they're getting feedback in such a way that kind of
23 identifies new guidance as to how you weight
24 evidence, giving stronger weight to more recent
25 findings and the less weight to things that might be

1 more dated and reviews that might now be, you know,
2 ten years old, essentially.

3 **MS. CORAZZA:** This is Danielle Corazza. This
4 issue came up because we found -- we were given
5 letters of denial that included language copied and
6 pasted from Wikipedia. So I think the bar was a
7 little lower; we'd like it to be a little higher.
8 That's where the bibliography came in. Cutting and
9 pasting from Wikipedia is not acceptable.

10 **DR. ERICKSON:** Yeah, certainly. So clearly we
11 have failed to get you that bibliography, and I
12 apologize for that. We'll work on that. If there
13 have been recent -- 'cause I've come to two other
14 CAP meetings, and I heard about the Wikipedia thing.
15 If that is still going on I would want to know that,
16 if Wikipedia is still being cited in the midst of
17 those write-ups. If it's old news then it's still
18 bad but it's not as bad as if it's still happening.

19 But the other piece, and this is a request I
20 make to Dr. Breyse, the forward facing version of
21 the 67-page document that you guys so ably put
22 together, that would really help us. That would be
23 something that I would promise, man-to-man, that we
24 would promulgate to our folks, okay? Because I
25 mean, honestly it's a great piece of work that has

1 references. It has a lot of, as you said,
2 up-to-date information. It brings into the
3 discussion international agency classifications,
4 it's footnoted. This would be very helpful to us,
5 and it would give -- it would provide something
6 substansive [sic], and something that is a recent
7 compendium of all that's known, or at least a lot of
8 what's known. I realize there might be some areas
9 it doesn't cover. So I would ask for that.

10 **DR. BREYSSE:** If I can explain what you mean by
11 that. Right now that's an internal assessment that
12 we provided to the VA, that we all recognize has now
13 become the public to some degree. But I think
14 you're free to use that already, but you probably
15 wouldn't be -- because it's not an official document
16 you probably couldn't cite, you know, that report as
17 an authoritative reference by itself, but certainly
18 you're free to take advantage of the, even now, you
19 know, the breadth of the literature and the
20 distillation of what it means.

21 **DR. ERICKSON:** Right. And this is good we're
22 talking about this. This makes me really happy that
23 it came out early. Whereas the clinical guidelines
24 document that is being perfected right now, that the
25 IOM reviewed for us, has a very specific purpose for

1 the 2012 law, and it goes to a slightly different
2 purpose. To be able to present to the disability
3 medical assessment people, who are handling those
4 veterans' claims, something like this, something
5 that you say, yeah, this is the final version. It's
6 on your website. You know, it's got the Pat Breysse
7 stamp of approval, whatever it requires, would
8 really help us, because then we -- you know, I would
9 have no problem saying, okay, guys, you might have
10 been using something that was a little out of date,
11 maybe it was the NRC 2009 report. We got something
12 really good for you, that, you know, the first thing
13 you would want to pull off your shelf at this point
14 from here on out is this ATSDR product, and I would
15 do that.

16 **MR. FLOHR:** Yeah, this is Brad. I do want to
17 say that I did have a conversation with the chief
18 consultant in the office of disability medical
19 assessment, who controls the examiners, clearing the
20 subject matter experts for Camp Lejeune, and I did
21 point out that mere citations of only the NRC 2009
22 report would be inappropriate and should not be
23 done. I said, well, I hope they're not making
24 decisions where that's the only report that they're
25 citing. But we did have that conversation.

1 **MS. RUCKART:** Okay, this is Perri again. This
2 item was actually completed. It was the CAP
3 requested from the VA a list of miscellaneous
4 diseases and the numbers associated with each one.
5 That was provided on December 16th.

6 The CAP requested the number of claims where
7 the VA made a decision without needing an SME
8 review.

9 **MR. FLOHR:** I'm told by our data folks that we
10 really are unable to determine that.

11 **MS. FRESHWATER:** Freshwater. Why?

12 **MR. FLOHR:** It's just not available in our
13 data.

14 **MS. FRESHWATER:** Could you take it back and ask
15 them to find one?

16 **MR. FLOHR:** I will take that back.

17 **MS. RUCKART:** Perri, again. The CAP wanted to
18 know the percent of people who have received letters
19 letting them know that their claim is being held
20 until new rules are developed.

21 **MR. FLOHR:** Sorry, I was writing. I missed
22 that.

23 **MS. RUCKART:** The CAP requested the information
24 needed to -- no, the CAP wanted to know the percent
25 of people who have received letters letting them

1 know that their claim is being held until new rules
2 are developed.

3 **MR. FLOHR:** Is that an action item from the
4 last time? I don't remember that.

5 **MS. RUCKART:** Yes, these are all action items
6 from the last meeting.

7 **MR. FLOHR:** I do not remember that, Perri. And
8 I can't tell you but I can find out and let you
9 know.

10 **MS. FRESHWATER:** Freshwater. Brad and Eric,
11 did you guys look at the action items? Did you get
12 a copy of the action items? Have you -- can you
13 tell me one action item that -- because it really
14 does seem -- with all due respect it really does
15 seem that none of this was addressed.

16 **DR. ERICKSON:** I think I've heard a couple
17 action items that we at least addressed. I don't
18 know if it was an all-or-none phenomenon here, Lori.
19 Sheila, did you send us --

20 **MS. STEVENS:** I'll go back and look at that
21 last one and make sure that that was on there.

22 **DR. ERICKSON:** Yeah.

23 **MS. STEVENS:** But it's on the list that Perri
24 has, so I'll go make sure --

25 **DR. ERICKSON:** Yeah. I mean, we -- you know,

1 the thing is we want to work in good faith to do all
2 we can in this regard, and if this is on us, we
3 apologize.

4 **DR. BREYSSE:** And we'll make sure that it
5 wasn't something that slipped through the cracks
6 from our end as well.

7 **MS. RUCKART:** So Perri again. Moving on, the
8 CAP requested the information needed to FOIA the
9 ethics review of the SMEs.

10 **DR. ERICKSON:** We don't recognize that one
11 either; I'm sorry.

12 **MR. ENSMINGER:** Let me ask this question. This
13 is Jerry Ensminger. Let me ask this question. This
14 subject matter expert program was created by VHA,
15 and it stills falls under VHA?

16 **DR. ERICKSON:** It does.

17 **MR. ENSMINGER:** Okay. I'll wait 'til this
18 afternoon to go into the rest of the...

19 **MS. RUCKART:** Perri again. This is also for
20 VA. The CAP requested a copy of the release of
21 information form needed to speak on behalf of a
22 veteran for a claim before a meeting that was
23 scheduled to take place yesterday, so there would be
24 enough time to have them sign. However, that
25 meeting didn't take place. I don't know if there's

1 an update on that item anyway.

2 **DR. BREYSSE:** For my benefit -- this is Pat --
3 would somebody remind me what the background of that
4 request is?

5 **MR. PARTAIN:** Yeah, the -- going back to what
6 Dr. Erickson said about SMEs and the reviews and
7 things like that, we have a lot of veterans that
8 come to us with their denials, and we were trying to
9 get a way -- you know, when we help the veterans on
10 their end, in all fairness to the VA, they can't
11 divulge privacy information, so we were asking for a
12 form that we could sign, have the veteran sign --
13 fill out that we could -- when we help them we can
14 talk about their cases.

15 **DR. BREYSSE:** So it gives you permission to
16 have access to their private medical --

17 **MR. PARTAIN:** Well, not private medical, just
18 to be able to discuss with the VA their case.

19 **DR. BREYSSE:** Okay. So is there such a form?

20 **MR. FLOHR:** You'd have to have a release from
21 the veteran.

22 **DR. BREYSSE:** Okay, so I guess what we're
23 asking for is a copy of the form that the veteran --

24 **MR. FLOHR:** I don't know if there's an actual
25 form. I mean, it can be --

1 **(Multiple Speakers)**

2 **UNIDENTIFIED SPEAKER:** (off mic) ...to be able
3 to talk -- So Dr. Bishop, who is in the VA, is able
4 to talk to Emory, and Emory is able to talk to them.
5 With all this rigmarole that's being said --

6 **DR. BREYSSE:** Sir, what was your name? Sir,
7 what was your name?

8 **UNIDENTIFIED SPEAKER:** (Unintelligible).

9 **DR. BREYSSE:** Thank you very much.

10 **MS. STEVENS:** And sir, we'll have a part at the
11 end where the audience can ask questions.

12 **DR. BREYSSE:** But I thank you for your
13 attendance and your input, but you will be given a
14 formal time for all community members to
15 participate.

16 **DR. ERICKSON:** Yeah, so I have a
17 recommendation, and this is just one of realizing
18 that Brad and I are not perhaps the best people to
19 speak to this issue. But that we invite from VA at
20 the next CAP meeting someone who represents DMA and
21 who can speak authoritatively to issues such as this
22 type of form, and some of the issues that Mr.
23 Ensminger is bringing up, et cetera, 'cause I think
24 these are important issues. It's just at this point
25 some of the specifics Brad and I will not be able to

1 provide. And so -- and I apologize for that, but I
2 think if we make this a specific request for the
3 next meeting, we would have that person attend.

4 **DR. BREYSSE:** I think we can consider that a
5 request. And can we ask -- you're probably in the
6 best position to figure out who that person should
7 be?

8 **DR. ERICKSON:** Oh, no, absolutely. But what
9 would help, though, is if ATSDR/CAP makes that a
10 specific request, that you'd like someone who runs
11 the DMA to attend, to be able to speak to those
12 issues. Okay, in the meantime, for instance, I'll
13 definitely look in that bibliography we failed on.
14 But I think it would help the discussion to have
15 someone who's right in that office speak to you.

16 **DR. BREYSSE:** Great, great.

17 **MS. FRESHWATER:** Freshwater. I think what I
18 keep asking from the VA each meeting is that you
19 become more proactive, because we talk a lot about
20 how we want to improve our relationship and how we
21 want to have a better working partnership to help
22 veterans, which we're all here to do. So I think,
23 when we have an action item, that we want you to
24 say, well, perhaps this person would be helpful and
25 might be able to actually answer this question, that

1 we asked four months ago, and so maybe we should
2 think about bringing them or asking them. And so
3 again, as we go through these items, we wait all
4 these months in between CAP meetings without
5 having -- without being able to move forward in what
6 we're trying to do because we're waiting for
7 responses.

8 So I would just once again ask that you guys be
9 more proactive in your advocacy to help us with this
10 kind of thing, because we don't know what a DMA is;
11 you do, right? So yes, I would like to formally
12 request that the DMA be at the next meeting, and I
13 would like to also request that maybe we would be
14 able to have them on the next conference call or in
15 some sort of email situation so that we can start
16 talking about this stuff. What is a DMA exactly?

17 **MR. FLOHR:** The Office of Disability and
18 Medical Assessment. They're the ones that conduct
19 the examinations, do the examinations.

20 **DR. ERICKSON:** Right, and I think in one of the
21 previous CAP meetings, it may have been a year ago,
22 we did have a few representatives from disability
23 medal assessment participate, but it sounds like we
24 should re-invite them at this point.

25 **MR. PARTAIN:** Great. And on these items, if

1 there's any way we can get them before the next CAP
2 meeting, since we -- you know, there's no sense of
3 waiting four more months on this.

4 **DR. ERICKSON:** Oh, yeah. No, certainly. And
5 good point, Mike. And what I would ask is, you
6 know, Sheila just -- you know, that we -- 'cause I
7 know there's a transition here coming up, which
8 means another potential for miscommunication, that
9 we redouble our efforts, to make sure that we're
10 transmitting and receiving all of this. Thank you.

11 **MS. RUCKART:** Okay, this is Perri again. I
12 want to remind everybody it would be really helpful
13 if you could state your name before you --

14 **DR. ERICKSON:** Yeah. That was Erickson. I'm
15 sorry, I forgot; I was talking so much, Perri.

16 **MS. RUCKART:** That's okay. I think, though,
17 when I get the transcript I most likely will be able
18 to attribute it to the right person, but this would
19 just help.

20 Okay, last item for the VA: VA will provide an
21 update on the process of getting an ombudsman to
22 help with the claims process.

23 **MR. FLOHR:** This is Brad. I really don't know
24 the answer to that. I don't know what an ombudsman
25 would do.

1 **MS. STEVENS:** Let me -- I'm going to take a
2 check and make sure that we're on the same list.
3 'Cause it's so unusual that we've had this many that
4 are not the same.

5 **MS. RUCKART:** Well I will say, regardless of
6 that, I mean, I know that these issues were
7 discussed at the last meeting, at least I hope they
8 sound familiar to everyone.

9 **DR. BREYSSE:** Another comment about the
10 ombudsman. This is Pat. Brad, what was your
11 comment?

12 **MR. FLOHR:** I don't know how we would go about
13 doing that or who would do that. I really just
14 don't know.

15 **MS. FRESHWATER:** Do you remember the discussion
16 from last meeting?

17 **MS. RUCKART:** Okay. The next item -- this is
18 Perri again -- I have is for the DON. The CAP
19 requested that Craig Unterberg, a member of the CAP,
20 be provided with the names of attorneys who are
21 involved in making decisions about releasing
22 documents to the public.

23 **MS. FORREST:** Melissa Forrest. Pursuant to
24 FOIA exemption B-6 and DoD policy, the Marine Corps
25 will not be releasing the names of attorneys who

1 have been providing advice for the release of
2 documents to the public.

3 **MR. UNTERBERG:** How do I communicate with them?

4 **MS. FORREST:** Any questions or information that
5 you want you'll need to provide through me, and I
6 can bring it back, you know, through the CAP, unless
7 you do some sort of, you know, official FOIA
8 request.

9 **MR. UNTERBERG:** I guess -- yeah, I guess my
10 question is how do we work with them to get the
11 ability for confidential information. I think the
12 same question I asked last time, and you said you
13 needed to talk to the attorneys. So I said, can I
14 then talk to the attorneys. And obviously I can't
15 talk to the attorney. So it's the same question.
16 I'm just not really sure --

17 **MS. FORREST:** Okay. Well, I'm sorry, the
18 question that, you know, I responded to -- and I
19 must have missed the -- another one was just if we
20 could give you the names so you could contact them
21 personally, and I can't do that.

22 **MR. UNTERBERG:** No, I understand. I think I
23 only asked to contact them personally 'cause I asked
24 you if there was a way for us to get confidential
25 information, and you said that you're not an

1 attorney; you'd have to speak to your attorney. I
2 said that's fine; can I speak to them. And then you
3 said, I'll see if I can get the information. So I
4 guess what I'm saying is the base question was how
5 do I work with them to get us NDAs and other
6 documents necessary for us to get confidential
7 information? I think you deferred to the attorneys
8 last time, and now the attorneys are deferring back
9 to you. So it's a little circular.

10 **MS. FORREST:** I think that I'm going to need to
11 talk with you so that I get a better understanding
12 of what your question -- what your request is, so
13 that I can formulate it better.

14 **MR. UNTERBERG:** Right. Well, the question is
15 we would like to be able to sign NDAs, and then be
16 able to get confidential information, which I'm sure
17 they do with other consultants and other groups to
18 allow confidential information to flow.

19 **MR. ENSMINGER:** Nondisclosure.

20 **MS. FORREST:** Nondisclosure agreements is what
21 you're saying.

22 **MR. UNTERBERG:** Yes, nondisclosure.

23 **MS. FORREST:** You would like to be able --

24 **MR. UNTERBERG:** Or confidentiality --

25 **MS. FORREST:** -- to sign a nondisclosure

1 agreement to get access to documents that haven't
2 been cleared for public release. Okay.

3 **MS. RUCKART:** This is Perri again. Before we
4 move on, I just want to check in with our
5 transcriber. Ray, can you confirm that you're able
6 to hear the audio, and that you're pretty much
7 getting who's saying what.

8 **THE COURT REPORTER:** Everything's going very
9 well, Perri.

10 **MS. RUCKART:** Thanks, Ray.

11 **MR. UNTERBERG:** Melissa, was that a federal
12 rule, that you cannot give out personal? I mean,
13 that, that sounds --

14 **MS. FORREST:** It was DoD policy, and they also
15 cited FOIA Exemption B-6. I can give you a copy of
16 what I just read out. I've got an extra copy.

17 **MR. UNTERBERG:** Oh.

18 **MS. FORREST:** I'll give that to you.

19 **MR. PARTAIN:** I think we should recognize it's
20 lawyer-speak saying that we don't want to talk to
21 you.

22 **MR. UNTERBERG:** I'm sure they can speak to me
23 if they wanted to, but I guess they don't. Okay,
24 that's this one.

25 **MS. RUCKART:** Perri again. Also for the DON,

1 the CAP requested that the Department of the Navy
2 send a USMC representative to the next CAP meeting.

3 **MS. FORREST:** Melissa Forrest again. The
4 Marine Corps remains committed to the founding
5 purposes of the Camp Lejeune Community Assistance
6 Panel and to receiving useful input from the CAP.
7 To that end the Navy and Marine Corps Public Health
8 Center CAP representative will continue to relay
9 information back to the Marine Corps and Department
10 of the Navy team so they can determine how best to
11 support those principles.

12 **MS. FRESHWATER:** I would like to make a request
13 that the UMC -- USMC send a representative to the
14 next CAP meeting, please. A uniform representative.

15 **MR. PARTAIN:** And I'll take their response as
16 no.

17 **MS. FORREST:** The response that I just provided
18 is, I am the official representative for the
19 Department of the Navy and U.S. Marine Corps.

20 **MR. PARTAIN:** And no disrespect to you but our
21 request was for a uniformed representative of the
22 United States Marine Corps to be present at these
23 meetings as they were in the past, when the CAP
24 began.

25 **MS. FORREST:** I understand.

1 **MR. PARTAIN:** And we'll repeat that request
2 again.

3 **DR. BREYSSE:** I don't think that means instead
4 of you. I mean, you can still serve as the official
5 person. I just want to make sure you're clear we're
6 not saying we don't want you.

7 **MS. FORREST:** We hate Melissa.

8 **MS. FRESHWATER:** And actually -- it's
9 Freshwater -- I would like the Marine Corps to give
10 me a statement addressed to the Marines who have
11 been exposed at Camp Lejeune as to why they won't
12 send a uniform representative to this meeting. I
13 don't want it addressed to the CAP; I want it
14 addressed to the Marines.

15 **MS. FORREST:** I'm sorry, I'm just trying to
16 take a few notes.

17 **MS. FRESHWATER:** No, I know.

18 **MS. RUCKART:** Perri again. This next item is a
19 joint action item for ATSDR and the DON. The CAP
20 requested what current SVI and VI testing, so that's
21 about the soil vapor intrusion that's being done at
22 Camp Lejeune and where it's being done. The CAP is
23 particularly concerned about the school at Tarawa
24 Terrace.

25 **MR. GILLIG:** Rick Gillig, ATSDR. Melissa, I

1 understand you have a statement prepared by the --

2 **MS. FORREST:** Yeah, it's pretty long, 'cause we
3 have a fairly robust vapor intrusion investigation
4 going on, you know, throughout Camp Lejeune.

5 **MS. FRESHWATER:** Can we get a copy of it also
6 after you read it?

7 **MS. FORREST:** Yes, you can.

8 **MS. FRESHWATER:** Thank you.

9 **MS. FORREST:** Sorry, I apologize in advance.
10 Marine Corps base Camp Lejeune conducted several
11 base-wide vapor intrusion investigations between
12 2007 and 2015. They saw known existing
13 contaminations.

14 The data collected as part of these
15 investigations have been provided to ATSDR for their
16 soil vapor intrusion public health assessment.

17 Currently additional vapor intrusion
18 evaluations are conducted in areas where new
19 construction of sensitive facilities is proposed;
20 examples: schools, daycare centers, residential
21 facilities, administrative facilities, et cetera.
22 Environmental sampling is conducted at these
23 proposed construction sites when sampling data is
24 not readily available to evaluate whether or not VI
25 may become an issue with the newly constructed

1 facilities.

2 VI evaluations, vapor intrusion evaluations,
3 are also regularly performed at our active
4 remediation sites when data indicates a potential
5 for vapor intrusion, when proposed remedial actions
6 have the potential to impact the vapor intrusion
7 pathway, example, air sparging, biosparging, et
8 cetera, or if soil groundwater contamination is
9 migrating within close proximity to a sensitive
10 facility.

11 With regard to the existing elementary school
12 at Tarawa Terrace, a vapor intrusion evaluation was
13 conducted in 2010 to 2011, due to a nearby volatile
14 organic compound groundwater plume. Shallow
15 groundwater, soil gas and indoor/outdoor air samples
16 were collected, and multiple lines of evidence
17 indicated that vapor intrusion was not occurring at
18 the school. A similar investigation was conducted
19 at the nearby child daycare center, and vapor
20 intrusion was also found not to be occurring.

21 Currently soil gas samples are periodically
22 collected near the Tarawa Terrace school in order to
23 evaluate the potential for vapor intrusion as part
24 of ongoing remediation efforts for the groundwater
25 plume. As previously stated the data collected as

1 part of these investigations have been provided to
2 ATSDR for their soil vapor intrusion public health
3 assessment.

4 **MS. FRESHWATER:** Thank you very much. I have a
5 question. I'm not sure who to address it to, but
6 can we just get a date on the last test done?

7 **MS. FORREST:** At Tarawa Terrace?

8 **MS. FRESHWATER:** At the school.

9 **MS. FORREST:** At the school?

10 **MS. FRESHWATER:** Yeah.

11 **MS. FORREST:** I'll take that. A date on the
12 last?

13 **MS. FRESHWATER:** The last --

14 **MS. FORREST:** Any type of --

15 **MS. FRESHWATER:** I'm assuming they've tested
16 since 2011, so if we could just get an update on
17 when the last testing occurred at the school? Thank
18 you, Melissa.

19 **MS. FORREST:** You're welcome.

20 **MR. ORRIS:** Melissa, this is Chris Orris.
21 Those vapor intrusion tests, are they industrial
22 levels or residential?

23 **MS. FORREST:** I'd have to go back and confirm.
24 You mean as far as where we're using EPA screening
25 levels or as compared to like an OSHA or do you --

1 industrial versus EPA, or do you mean as in the
2 exposure assumptions of, then the number of hours,
3 number of days per year that you'd have residential
4 versus industrial?

5 **MR. ORRIS:** Correct. I mean the number of
6 hours for exposure, whether the school was tested
7 for industrial or residential.

8 **MS. FORREST:** I will have to go back and look
9 at that. I could make a guess but I don't want to
10 make a guess.

11 **MR. ORRIS:** Thank you.

12 **MS. FRESHWATER:** Does anyone at ATSDR have any
13 information on that, that could help?

14 **MR. GILLIG:** Mark Evans is our lead scientist -
15 - Rick Gillig, ATSDR. Mark Evans, our lead
16 scientist, is not here today, so I don't have that
17 level of information.

18 **DR. BREYSSE:** We will tell you what the most
19 recent report that we have in our file relative to
20 that school. We'll get that information to you.

21 **MS. FRESHWATER:** Thank you very much.

22 **MS. RUCKART:** Perri again. Just a few more
23 things to go here. This is also a joint item
24 between ATSDR and DoN. The CAP requested that ATSDR
25 discuss with the Navy the time frame for when their

1 reports and documents can be released to the CAP,
2 and to provide a day and time when the documents
3 will be available. When the ATSDR drinking water
4 and soil vapor intrusion assessments are released
5 the cited documents will need to be available to the
6 public.

7 The CAP also requested to review all documents
8 provided to ATSDR for their consideration in
9 updating the PHA regardless of whether we used them
10 or cited them in the final report. The CAP wanted
11 to know if they need to provide an official FOIA
12 request for these documents.

13 **MS. FORREST:** Melissa Forrest. The Department
14 of the Navy review process under the Freedom of
15 Information Act is nearing completion; however, we
16 can't provide an exact day or time when the review
17 will be complete. Once the review is complete, the
18 Department of the Navy will provide the documents to
19 ATSDR for release to the Community Assistance Panel
20 or public. Further, there is no need for the CAP to
21 file an official FOIA request as this will not
22 accelerate the review process.

23 ATSDR identified a large volume of documents
24 that they determined are potentially relevant to
25 their Camp Lejeune soil vapor investigation PHA

1 effort, and have asked the Department of the Navy to
2 review those documents for release to the CAP and
3 public. The volume of documents currently being
4 reviewed for release is much larger than just the
5 documents that will be cited within ATSDR's SVI PHA.

6 **MR. TEMPLETON:** This is Tim Templeton. Is
7 there any way that they can at least do some limited
8 releases on these? Because I mean, if we're waiting
9 for the baby to be born, you know, we'd have to get
10 a chance to see like an ultrasound of what the baby
11 looked like. I want to -- I'd like to see an
12 ultrasound first, and make sure we got a baby in
13 there.

14 **MS. FORREST:** Rick, do you have any comment? I
15 mean, I can take that back as a request. I
16 understand at this time the plan is to do it as one
17 block of -- one, one mass release of documents.

18 **MR. GILLIG:** Rick Gillig, ATSDR. That's my
19 understanding as well. And Chris, I hate to put you
20 on the spot, can you tell us how many documents
21 we've shared with the CAP at this point, ballpark?

22 **MR. FLETCHER:** Chris Fletcher, ATSDR. I don't
23 know the number off the top of my head. Everything
24 that's available you guys can check out now is on
25 the FTP site. And I think everybody's got

1 instructions to that. Maybe some of the new members
2 haven't seen those. But those include all the
3 documents from North Carolina Department of
4 Environment and Natural Resources. I'm blanking on
5 it. That's right, the UST files that were
6 originally released through the drinking water
7 stuff. And I think there's another small group on
8 there. But the majority of the documents we're
9 waiting for DoN to finish their review. And then
10 when they do we'll put all those up on the FTP site
11 and you guys can --

12 **DR. BREYSSE:** Chris, would you say your last
13 name for the transcription?

14 **MR. FLETCHER:** Fletcher.

15 **MS. FRESHWATER:** So can we put in an official
16 request for -- that we get documents as they become
17 available as opposed to waiting until all are
18 available? Is that the right wording, Tim? That
19 was Freshwater.

20 **MS. FORREST:** I'm sorry, I was thinking about
21 what I was going to -- what were you saying? Repeat
22 that again?

23 **MS. FRESHWATER:** Can we put in -- we would like
24 to put in an official request that we get documents
25 as they become cleared as opposed to waiting until

1 all documents are cleared and dumped on us. Dumped
2 on us is not official language; I understand.
3 Please translate to official government language.

4 **MS. FORREST:** I understand. Thank you.

5 **MS. RUCKART:** Perri again. The last action
6 item was for ATSDR. The CAP requested that we
7 invite Dr. Blossom, she's an immunotoxicologist, to
8 the next CAP meeting. She was not available to come
9 to this meeting. And Tim and Sheila will be working
10 with her to get her here in the future.

11 **DR. BREYSSE:** So that takes us to the end of
12 the action item part of the agenda. We're running a
13 little bit behind, but these meetings always have
14 their own flow to them, and I want to make sure we
15 maintain that.

16 So the next item on the agenda is an update on
17 the health assessments. And I'll turn it over to
18 Rick Gillig.

19
20 **UPDATES ON HEALTH ASSESSMENTS**

21 **MR. GILLIG:** This is Rick Gillig, ATSDR. I
22 want to cover the soil vapor intrusion project
23 first, and that's a very brief update. We are still
24 looking through the documents provided by the
25 Department of the Navy. We're pulling data out of

1 those documents and populating a SQL database, so
2 that's a long process. There are quite a few
3 documents to go through. Any questions on that
4 project?

5 **MR. ENSMINGER:** What's an anticipated
6 completion date?

7 **MR. GILLIG:** I think it's going to take us at
8 least six more months to pull the data out. And
9 that may be too conservative of an estimate. And
10 then we need to -- once we get the SQL database
11 populated we need to analyze it, both from a
12 temporal and a spatial standpoint, and then write
13 our health assessment. I wish we could do it
14 quicker but going through documents and pulling out
15 data takes a lot of time. Any other questions on
16 the soil vapor intrusion project?

17 If not we'll move to the next item, and that's
18 to discuss the public health assessment on the
19 drinking water analysis. Before we get started with
20 that I want to introduce the team that put this
21 document together. Please stand up when I mention
22 your name. Bert Cooper is the team lead for the
23 staff working on the project. We have Danielle
24 Langman who is new to the team. Danielle reviewed
25 the lead data and helped draft portions of the

1 document. Rob Robinson, I've introduced in the
2 past. He has accepted another position at ATSDR.
3 He is not with us today. And then we have Dr. Mark
4 Johnson. Mark is the regional director out of the
5 Chicago office and the lead toxicologist on the
6 project. And Mark will be going through a summary
7 of the findings in our public health assessment.
8 Mark?

9 **DR. JOHNSON:** Yeah, thank you. I indicated the
10 objectives we had with this assessment.
11 (Unintelligible) as a team effort. We really wanted
12 to make sure that this assessment -- Can everybody
13 hear me okay?

14 **MR. PARTAIN:** No.

15 **DR. JOHNSON:** Okay. I'll try to be closer. We
16 had three objectives for this assessment. We first
17 wanted to use the most current science, both in
18 terms of assessing exposure, use Morris Maslia's
19 modeling project results as a basis for our
20 exposure. We utilized the most current science
21 about the toxicological effects of exposure to the
22 contaminants in the drinking water at Camp Lejeune.
23 And we also sought feedback from the CAP regarding
24 some of our assumptions about exposure to the
25 various groups, to make sure that hopefully our

1 assessment was in fact in alignment with the
2 exposures that occurred at Camp Lejeune. And the
3 last objective we had was to make sure that our
4 assessment results were presented in a way that was
5 informative to the public and to the veterans who
6 served there, to make sure that this is
7 understandable. It wasn't just a document that we
8 released but it was actually something that was
9 understandable and presented in a way that would be
10 informative to those individuals.

11 So we'll go through this. This has been -- it
12 has gone through extensive internal review. It's
13 gone through peer review last fall. The CAP was
14 provided an opportunity to review that at that time.
15 And then now we've incorporated those peer review
16 comments into this version, which is now going to be
17 released for public comment review. And we welcome
18 that input and feedback on the clarity of the
19 information we're presenting in that document.

20 Obviously I don't need to introduce the
21 background information to this audience about Camp
22 Lejeune. Some of the topics we're going to cover in
23 this overview is the background, the populations
24 that we evaluated, so that you can be clear about
25 what groups we targeted for our assessment of

1 exposure and ultimately for indicating the potential
2 impacts on their health.

3 We focused mainly on the volatile organic
4 compounds, VOCs, in the exposure assessment. Those
5 are contaminants in the drinking water that could
6 then be resulting in exposure, both through
7 ingestion of drinking water but all through the
8 inhalation of the water as it's used for various
9 purposes, mainly for showering and bathing.

10 We also included what we refer to as a special
11 VOC exposure. That would be something in addition
12 to the typical kind of exposure you would experience
13 in those natural settings. For example, the CAP had
14 the input and requested the assessment of special
15 conditions like laundry facilities, food preparation
16 areas where water is used extensively in those
17 activities. So there's a section of the document
18 where that was evaluated.

19 We also included exposure to lead from
20 ingestion in drinking water. That was part of the
21 assessment in terms of looking at potential exposure
22 to health impacts, mainly of the young children but
23 also to adults as well.

24 And then finally wrapping that into an overall
25 assessment of health impact findings with actions

1 and recommendations for follow-up. So that's kind
2 of an overview of what I'll be talking about.

3 Background information. You don't need to know
4 this. You already know this, that our public health
5 assessment focused obviously on drinking water.
6 Again, past exposures where we believe the
7 contamination was -- goes back to the early 1950s
8 and then continued on 'til the 1980s, when those
9 wells, contaminated wells, were shut off.

10 As I mentioned the inclusion of more recent
11 data on lead in drinking water, which is mainly the
12 result of the contribution of lead service lines
13 that are present on the base and can provide an
14 ongoing potential release if those conditions are
15 not maintained to maintain corrosion control. And
16 so you're monitoring of water quality to make sure
17 that lead is addressed.

18 I mentioned about the peer review process and
19 the CAP's comments on the draft, which was last
20 fall. It took us -- we had a lot of comments. It
21 took us a while to incorporate those. We feel we
22 have addressed those, and now we're going to be
23 releasing this document for public comments.

24 So with most of the populations that we
25 evaluated in this we needed to focus on specific

1 groups that allowed us then to address the main
2 individual or groups of people who would be exposed
3 or have been exposed. So the first one was young
4 children who lived on base with their families. The
5 second one was adults, could be spouses or other
6 family members, adults, who lived on the base. That
7 was also inclusive of women who were pregnant at
8 that time. We also included workers who were
9 employed at the base, but who would -- who lived off
10 base but were still exposed to water on base and
11 related to their employment. And then finally
12 Marine personnel who trained and lived on base was a
13 primary focus, again, of our assessment, which
14 included a more intensive evaluation of the exposure
15 to water during training, as we included information
16 that was available to us to assess the more
17 intensive exposure to Marines in training because
18 they're more likely to have water use in terms of
19 during their training they would be ingesting more
20 water but also they would be showering more
21 frequently during the day. We included that
22 information in our assessment.

23 So these are the exposure pathways. The main
24 concern we have with exposure to water is through
25 ingestion, through dermal contact, also through

1 inhalation of vapors through showering and bathing.

2 And the main focus was on the contaminants that
3 are listed here: PCE, tetrachloroethylene,
4 trichloroethylene, dichloroethylene and vinyl
5 chloride, which are all breakdown products of the
6 solvents that were utilized -- used on base, were
7 impacted on the base, and were contaminants in the
8 groundwater that was then used as a source of
9 drinking water for Camp Lejeune water systems.

10 We used the modeled water concentrations that I
11 mentioned Morris provided to us. To assess the
12 overall concentration we did what we refer to as a
13 three-year running average. So we assumed that the
14 average time or upper end of exposure duration for
15 Marines who were in training was three years. That
16 includes those -- the family members. We then
17 assumed that for workers, though, that it might be a
18 longer duration, that they may not necessarily be
19 there for that limited time, but we assumed that
20 they could be there working and exposed for up to 15
21 years as an average.

22 As I mentioned we also included site-specific
23 values that for Marines in training, and the CAP
24 provided some input to make sure that those were in
25 alignment with what was really appropriate for those

1 exposures for Marines.

2 And then we used that information, then, to
3 estimate the exposure, both the average but also
4 what we refer to as the upper end, or the 95th
5 percentile. So we're looking at a range of
6 exposures that would be inclusive of the -- even the
7 most intensive individuals who were exposed.

8 I mentioned about the special VOC exposures, so
9 the assessment also included assumptions about the
10 exposure to these other opportunities, indoor
11 swimming and training pools. I've taken information
12 about the frequency of those activities. We
13 estimated the air concentrations that could be --
14 could occur in those environments, then, to assess
15 overall exposure. We also included laundry
16 facilities, civilian workers who worked in those
17 facilities, food preparation, dishwashing operations
18 gave us an estimate of those exposures in those
19 settings. That would be in addition to those that I
20 mentioned earlier about the more residential-based
21 exposures.

22 Lead exposure assumptions through the drinking
23 water, we used what EPA refers to as the integrated
24 exposure uptake biokinetic model. That's a
25 mouthful. It is a tool that's used for estimating

1 the impact of exposure through all sources. It
2 would include water, include air, include diet, soil
3 as a measurement of the potential impact on blood
4 lead in children. And the assessment then uses,
5 then, what we -- to predict that and determine what
6 level of exposure could lead to an elevated blood
7 lead in a child. And that's based on the most
8 recent guidelines that the CDC has for blood lead
9 measurements of five micrograms per decimeter as a
10 reference level for that comparison.

11 And so we utilized the site-specific drinking
12 water levels in that assessment, assumed a
13 background level of lead that could come from soil
14 as a hundred parts per million, which is believed to
15 be the average level on base, to make that
16 prediction and that comparison.

17 In terms of our evaluations of the exposure
18 part of it, then we also looked at the toxicity.
19 What does that mean in terms of health impacts? So
20 we summarized this in two categories. One is
21 referring to the non-cancer endpoints. How does
22 that exposure relate to other health effects?
23 That's based on specific effects on the organ
24 systems, and I'll talk about that. But also the
25 concern about this just wasn't one chemical. There

1 were at least four different chemicals that were
2 present there. And the ability to assess the
3 combined effect of that exposure to multiple
4 chemicals was part of our assessment.

5 And then the second part of that is looking at
6 the effect on cancer risk. There's a separate
7 determination about cancer risk which is different
8 than what we refer to as the non-cancer hazard. We
9 also utilized age-dependent adjustment factors. We
10 know that, based on studies, that exposure to young
11 children has a greater impact for chemicals that act
12 by what's called a mutagenic mechanism of action.
13 Chemicals that act by causing mutations can have a
14 more significant effect on young children. And so
15 for example with the trichloroethylene assessment
16 for kidney cancer, we applied an adjustment factor
17 to account for that early life exposure risk, which
18 is greater than if the exposure occurred as an
19 adult.

20 We also applied another adjustment for vinyl
21 chloride, which is similar to what I was just
22 mentioning, that based on animal studies, that
23 exposure to an animal at birth has a greater impact
24 in terms of its cancer risk than if that exposure
25 occurred as an adult. So our assessment included an

1 adjustment for that maternal exposure that would
2 account for the impact in the early life. And we
3 applied that up to the age group of six years of
4 age.

5 So what are the findings? So there are five
6 conclusions in the document that I'll summarize
7 briefly. And we've organized these according to
8 locations and specific topics. So the first
9 inclusion is addressing Hadnot Point exposure. That
10 would address individuals who lived at Hadnot Point,
11 residents, but also Marines who lived there and were
12 also exposed during activities, and in areas where
13 Hadnot Point was providing water supply to other
14 areas of the base in addition to the residences.

15 And through this quickly. The past exposure to
16 VOCs in the drinking water supplied by the Hadnot
17 Point water treatment plant were high enough to
18 increase both cancer and non-cancer risk to Marines,
19 Marine recruits, Navy personnel, residents and
20 civilians who drank the water during the exposure
21 time periods. Now to mention we break that down
22 into assessments for both non-cancer, which the main
23 effects of these exposures that the ones that set
24 about in terms of their impact were the effects on
25 the immune system, particularly in children as well

1 as exposure to pregnant women and the effect on the
2 developing fetus of causing potentially fetal heart
3 malformations in the offspring. And also the cancer
4 risk, we found evidence increasing risk for kidney,
5 liver, non-Hodgkin's lymphoma and lung and brain.
6 And that was based on both looking at the animal
7 toxicity data but also the epidemiological data that
8 has been developed both in terms of other studies
9 but also Camp Lejeune-specific studies that looked
10 at these endpoints as well.

11 The second conclusion is focused on Tarawa
12 Terrace. So just to read this again, past exposure
13 to VOCs in drinking water supplied by the Tarawa
14 Terrace water treatment plant might have harmed the
15 health of young children and Marines in training.
16 The estimated levels to which young children were
17 exposed would have resulted in an increased cancer
18 risk and increased potential of adverse non-cancer
19 effects.

20 **MR. ENSMINGER:** I got a question on that. This
21 is Jerry Ensminger. Doctor, in your writing there
22 you said that the estimated levels to which young
23 children were exposed, you left out fetuses. Why?
24 Fetal exposure.

25 **DR. JOHNSON:** Right. So the way we have

1 organized the assessment is that the exposure would
2 occur to a pregnant woman, and so that the impact,
3 then, is reflected in that exposure. So the
4 document does go into these -- for example, with
5 fetal cardiac malformations, then, is obviously a
6 fetal effect during exposure to a pregnant woman.
7 So we're not ignoring it but we're acknowledging
8 that that is a mechanism by which the health effect
9 is exhibited, is through exposure to a pregnant
10 woman.

11 **MR. ENSMINGER:** No, no, wait, wait. Wait.
12 Yeah, but you're not addressing cancerous effects to
13 a fetus that was exposed in utero.

14 **DR. JOHNSON:** Well, we're certainly including
15 the cancer risk for the child who is exposed at
16 birth. And we're including, as I was mentioning,
17 where the additional adjustment for that additional
18 risk because of that exposure occurring at that
19 point.

20 **MR. PARTAIN:** But when you read it, it doesn't
21 look right.

22 **MR. ENSMINGER:** No. There's no explanation of
23 that.

24 **DR. BREYSSE:** In terms of this slide in
25 particular? Is that what you --

1 **MR. ENSMINGER:** No, in the -- okay, the
2 assessment itself.

3 **MS. FRESHWATER:** Jerry, what page is that?

4 **MR. ENSMINGER:** It's in the preface. It's
5 Roman numeral 14. And then -- well, go ahead,
6 because I'm jumping ahead of you. But okay.

7 **MR. PARTAIN:** This is Mike Partain. Just when
8 you read this, I understand you're saying children,
9 but is there not a -- these chemicals affect a fetus
10 differently than a child, okay. These chemicals
11 would affect a forming fetus differently than a
12 child who is outside the womb. And the way this
13 reads, and what I'm hearing here, it does not appear
14 to address that. And I would think that there would
15 be, from a health perspective, there would be more
16 of a concern on exposure to a fetus because of that
17 risk, and I don't see it being addressed.

18 **DR. JOHNSON:** Well, the document does describe
19 the outcome of the (indiscernible) studies that have
20 looked at birth outcomes, in terms of low birth
21 weight and other outcomes related to the outcome of
22 pregnancy.

23 **MR. PARTAIN:** But for the benefits of, you
24 know, you may -- I'm sure to you may be perfectly
25 clear, but to other readers down the road and policy

1 decision-makers down the road who are looking at
2 this, you know, lay people who are looking at this,
3 it doesn't jump out. So it may need to be spelled
4 out for them: *Idiots' Guide to ATSDR's*
5 (unintelligible). It just doesn't jump out.

6 **DR. BREYSSE:** All right, Mike. So you guys are
7 totally welcome to comment again going through, and
8 it's now a public release. So we want to entertain
9 all your suggestions. So make sure -- my first
10 comment is make sure you get it in the system where
11 it's formally -- we have to respond at that point.

12 But also recognize that a public health
13 assessment is, by definition, a scoping kind of
14 exercise. And we have to assess what we think the
15 potential health risks are based on things that have
16 been quantified in the literature. That doesn't
17 mean that other things are not possible. That
18 doesn't mean that other things are not there. But
19 we just -- if there's a potential cancer risk but
20 there isn't an exposure-response relationship in the
21 cancer risk that would allow us kind of make a
22 quantitative assessment of what that is, we're
23 limited in what we can say. So just keep in mind
24 that not everything can be addressed in a public
25 health assessment because the science is not always

1 -- not always there in a way for us to be
2 quantitative. But that doesn't mean we can't
3 qualitatively identify those things that we couldn't
4 quantify as a potential risk factor. But just keep
5 that in mind, and so that we can't possibly quantify
6 everything that's possible because the literature
7 isn't strong enough for us to do that. Was that
8 clear?

9 So if there's no data that allows us to
10 calculate what the risk for cancer is for being
11 exposed in utero, right? So there could be
12 epidemiological evidence to suggest that, you know,
13 exposure in utero might, you know, result in
14 increased cancer risk. But if we don't have any
15 exposure-response data or any quantitative data that
16 allows us to say, okay, if a woman drinks this much
17 while she's pregnant, therefore her risk goes up
18 this much. So if we don't have that -- if we don't
19 have that kind of data, we can't quantify an in
20 utero risk.

21 **MR. ENSMINGER:** But you did a study.

22 **DR. JOHNSON:** But our study --

23 **DR. BREYSSE:** So we're in a bit of a bind here,
24 if I can be honest. So normally the way things
25 would work is a public health assessment would come

1 first, and then we'd use that to inform a more
2 detailed epidemiological investment going forward.
3 So in this case where we got the cart a little bit
4 ahead of the horse in that regard.

5 But our epi study is a more firm evidence about
6 what the health risks are for the people we studied
7 and what we're estimating here. So the epi study
8 was an actual assessment of the health risk in
9 people; this is just an exercise where we're
10 estimating the health risk based on what we think
11 might happen in a population of people who drank or
12 showered or used this water. That's the difference
13 between the two.

14 **MR. ENSMINGER:** Yeah, and also aren't you
15 supposed to address these health conditions for the
16 most vulnerable populations?

17 **DR. BREYSSE:** Yes, yes.

18 **MR. ENSMINGER:** Well, isn't a fetus vulnerable?

19 **DR. BREYSSE:** Absolutely. And where there's
20 data that allows us to --

21 **DR. JOHNSON:** Correct. Yeah, I think this is
22 exactly right that this assessment is really a
23 predictive tool to take in a special amount of
24 exposure and, based on the toxicological data,
25 estimate what could be the outcome. But there are

1 many gaps, as Dr. Breysse mentioned, where the
2 epidemiological study's looking at the actual impact
3 and measurement of that, and that's the distinction
4 here. So if it's something that we need to include,
5 please comment that. We can certainly explain that
6 in more detail.

7 **DR. BREYSSE:** Well, we want to make sure that
8 people don't assume that if we weren't able to
9 quantify something here or that these necessarily,
10 you know, supersede what we might measure in
11 epidemiology studies. That's not the case. Ken?

12 **DR. CANTOR:** So my question is just an add-on
13 to this. If you have animal toxicologic data that
14 shows fetal effects, or effects of exposure on the
15 fetus, and as it affects after (indiscernible),
16 would they be adequate to enter this into the public
17 health assessment?

18 **DR. JOHNSON:** Right. We have done that. As I
19 was mentioning the vinyl chloride is an example
20 where early life exposure has a significant
21 difference in terms of risk. And there is some data
22 regarding occurrence during pregnancy, and that's
23 part of the literature review that's included in the
24 assessment.

25 **DR. BREYSSE:** But if we've missed some data,

1 please let us know. If there's something that we
2 didn't miss -- but you'll see we do estimate the
3 possibility of fetal cardiac malformation 'cause
4 there's actual data that we can use to estimate
5 that. That doesn't mean that other in utero
6 exposure, other health effects are not caused by in
7 utero exposure. So that has to be clear.

8 **MR. ENSMINGER:** So why don't you just add
9 fetuses, unborn fetuses to this?

10 **DR. JOHNSON:** Right. We should certainly make
11 that more clear. But again, the point is that the
12 exposure, or pathway, is through the pregnant woman
13 being exposed. The impact is on the fetus.

14 **MR. ENSMINGER:** I'm sorry. This is Jerry.
15 It's like Mike brought up, you've got decision-
16 makers and you got other laymen who don't understand
17 the process of exposure through the mother, which
18 crosses the placenta to the fetus, okay? But you
19 don't have to explain all that. All you got to do
20 is add fetuses to that paragraph, unborn fetuses.

21 **MR. ORRIS:** This is Chris Orris. And this
22 touches personally to me. I'm sure most of you are
23 aware I was actually exposed in utero at Tarawa
24 Terrace, and in 1974 I was born at the base, at the
25 hospital on base. And during that time frame they

1 did not conduct fetal tests like they do now at
2 birth.

3 I'm a living example of a fetus that had
4 cardiac malformation. And my heart malformation was
5 not diagnosed until my mid-30s, when it almost
6 killed me. And my data has never been included in
7 any toxicological studies that have been done by
8 ATSDR or any other agency because of the limitations
9 of the epidemiological study.

10 I think this is a good and valid time to relook
11 at the birth study and to maybe open up the
12 parameters based on the limited data that was there,
13 to see if we can't do more fetal exposure studies
14 going forward.

15 **MR. HODORE:** Also -- my name is Bernard Hodore.
16 I want to address the -- what about the women
17 Marines with miscarriage? Multiple, multiple
18 miscarriages.

19 **MS. RUCKART:** Okay, well this is Perri Ruckart.
20 First I'll address Chris and then I'll address your
21 comment. So you know, we've had a lot of
22 discussions with you about this, and you know, just
23 as you were mentioning how your heart condition was
24 not identified at birth, you know, that's just the
25 way it is, and these records are not readily

1 available, and it's just not something that we're
2 able to look at. We're not saying it doesn't exist
3 or that there isn't a connection; it's just not
4 something we're able to address, and we've explained
5 to you, and I thought the group -- why we just were
6 only able to look at the birth defects and adverse
7 pregnancy outcome conditions that we did. It's just
8 based on limitation. It's not that we wouldn't want
9 to, but I just don't see how it's feasible. I mean,
10 we have looked at all different kinds of sources of
11 possible data, and they're just not there.

12 **MR. ORRIS:** So Perri, it's not just focusing on
13 cardiac malformation. We know that exposure
14 (unintelligible) for the babies exposed. And what
15 I'm proposing at least on a health study to all of
16 the babies who were exposed in utero and do an
17 entire health study based on their current health
18 issues, not what you can go back to in the 70s and
19 the 60s.

20 **MS. RUCKART:** So about that, with the health
21 survey we attempted to address those concerns as
22 well. We included those births that we knew about
23 from our other studies, and we sent them health
24 surveys where they could report, you know, a variety
25 of conditions that they experienced over their whole

1 life. And we only got, I can't recall off the top
2 of my head, but a few thousand back, and that'll be
3 presented in our health survey report.

4 **MR. ORRIS:** So as a member of the CAP, I mean,
5 I never received a health study, never. My family
6 never received a health study, and this ties back to
7 Melissa Forrest with the Department of the Navy has
8 never notified children exposed at the base of their
9 exposure. They refuse to do so even though that
10 entire population is an adult population now.

11 And this is something that really speaks close
12 to my heart because in utero-exposed babies probably
13 do not know the health risk that they face based on
14 their exposure to these chemicals. Now, you know,
15 if you would like to do another study and send me a
16 study, I've got about 30 health conditions that I
17 can include on that study that might add a little
18 more weight to your science.

19 **MS. RUCKART:** Well, we also have discussed this
20 in the past, just how we identified people to
21 include in the study, and we know that there are
22 more people out there than we could identify, but we
23 had to identify people in a systematic fashion. You
24 know, we had, at the time when we were developing
25 the health survey, really tried to get a good handle

1 on what records were available, and we wanted to
2 broaden just from the births that we knew about in
3 our other two studies, so we went and looked at
4 school records, and those records are in really
5 poor, old condition, on microfiche. It just wasn't
6 something we could use. They did not have a record
7 of all the yearbooks. You know, we had worked with
8 the Marine Corps and the Navy, the DoD. We got
9 their (indiscernible) entire data center. I mean, I
10 understand your frustration, and I am sorry, but
11 I -- I'm not sure what all --

12 **DR. BREYSSE:** And if I can just add, so we
13 will -- we constantly re-evaluate what we can do,
14 what we should do, what we are doing. We will
15 rethink that -- rethink what we might be able to do,
16 Chris, I can promise you, recognizing that there are
17 limitations for what we can do. But it's in no way
18 meant to diminish your suffering or to imply that
19 these aren't tragic situations in people's lives as
20 well. So but we will look at it again.

21 **MR. PARTAIN:** Dr. Breysse, you know, just going
22 back with the in utero study, and I brought this
23 back up a couple years ago, you know, we had to
24 identify the children born at the base through their
25 birth certificates, and I believe they had at one

1 point Social Security Numbers and everything, to do
2 the original study. I understand that data has been
3 discarded, destroyed or what have you, if I'm
4 correct. But as far as the Social Security Numbers
5 being able to identify the children, because to
6 Chris's point, you know, this is -- we are an adult
7 population. I'm one of the children as well. And I
8 have talked to countless children born at Lejeune
9 over the years, as I've been involved in this. Some
10 of them are dead now. I mean, most are in our 40s.
11 We're seeing cancers, ovarian cancers,
12 (indiscernible) cancer, breast cancer, male breast
13 cancer, and of course the effects like Chris that
14 manifest itself. And, you know, going back to this
15 point with the public health assessment, if we're
16 looking at studies to try to provide answers, you
17 have a group identified. You have a rather unique
18 group in fetuses that were exposed in utero to a
19 known -- three known carcinogens. Now that we're in
20 our -- you know, we've had time elapsed. Why aren't
21 we going back and studying the children? So you can
22 answer this question back up here.

23 And you know, that going back with our public
24 health assessment, please understand, you know, the
25 reason why we're -- I don't want to seem we're

1 nit-picking on the words, but going back to my point
2 about people are going to read this afterwards --
3 and just like we have seen -- and we're not bringing
4 this up just to bring it up, but we have seen
5 policy-makers; we have seen the VA nit-pick and take
6 things out of context and interpret them differently
7 than what was intended. So if it's not spelled out
8 or the fetus added into this paragraph, and it's
9 published, and then three years down the road we're
10 trying to get something done, they're going to come
11 back and say, well, ATSDR didn't say that. And I've
12 heard those words been used against us as we've
13 tried to bring this out.

14 **DR. BOVE:** Let me go over what -- this is Frank
15 Bove -- let me go over what data we have, okay. We
16 did a study years ago, with Perri as the first
17 author, of those who were born either at Camp
18 Lejeune, or were in utero at Camp Lejeune but born
19 elsewhere, from 1968 to '85, okay. And that's the
20 basis for the study that looked at neural tube
21 defects, a brain defect, and oral clefts, cleft lip
22 and cleft pallet, as well as childhood leukemia,
23 okay.

24 So with that data -- and again, we had to ask
25 the Marine Corps for help to identify those who left

1 the base, because there's no information. A lot of
2 that information came from word-of-mouth or media
3 outreach. So we have that group of people, from
4 '68 to '85, born at the base or born off the base
5 but had their pregnancy on the base.

6 We then sent surveys to them. We did the study
7 and found associations with neural tube defects.
8 Some of that's also in the literature from previous
9 drinking water studies, either Woburn or New Jersey,
10 that I participated in, for example. And we then,
11 what -- oh, okay. We stopped -- we started in
12 '68 because the data was computerized, partially
13 computerized, at the North Carolina (indiscernible)
14 records for birth certificates, that was started in
15 '68.

16 Also back then we did not have the drinking
17 water modeling effort that Morris and his team did.
18 So we didn't know exactly when the contamination
19 started, so we thought '68 wasn't a bad time to
20 start, and actually it isn't because the
21 contamination was pretty good then. It was less as
22 you went further back in time. So we have that
23 data, okay.

24 We have -- well, we don't have Social Security
25 Numbers on these children. That's one thing we

1 don't have, okay. We sent surveys to them. We had
2 a very poor participation rate for the survey, and
3 we're going to go into that once we go into -- when
4 we're ready to present those results. Poor in the
5 sense that a survey that's mailed out to people, and
6 that includes the census too, in these days have
7 poor participation rates. Even the census, where
8 you have to fill it out by law, they still have a
9 poor participation rate when they mail it out. They
10 have to go door to door to actually increase the --
11 to an acceptable level. So this is a problem with
12 surveys that are mailed out, whether it uses the web
13 to answer the survey or whether you mail it back to
14 us, it's still a problem.

15 Okay, so it's everyone that we could identify
16 and have an address for who were born at the base
17 between '68 and '85, or born off base, that we were
18 aware of, were sent that survey, if we -- if the
19 locating firm had their current address and they had
20 a real address that they could be mailed to. Okay,
21 so these surveys went out; we got very few back in a
22 sense, relative to amount sent.

23 **MR. ORRIS:** Frank, just to clarify, were those
24 surveys sent to the children who were exposed or
25 were they sent to the --

1 **DR. BOVE:** Yeah, they were sent to the parents
2 and the children. And if we had the address, if the
3 locating firm -- I think it was Equifax -- could
4 find the address, they were mailed. We mailed
5 hundreds of thousands of surveys out. Okay, so this
6 has been done, and this is the best you can do with
7 a survey.

8 Better studies are done when you have already
9 collected data from a cancer registry or a birth
10 defect registry or so on. And that's why we're
11 doing a cancer incidence study, which we'll talk
12 about later. But we're limited by the data we have.

13 But other studies have been done in other
14 populations, and we can use that information. As I
15 said there was a drinking water study done in New
16 Jersey that we used to justify our study, and Woburn
17 as well, a study done there that justified why we
18 wanted to look at childhood leukemia. So we tried
19 to pull in information from other studies. If you
20 see it in another population exposed to the same
21 contaminant, you can make the inference that it will
22 also happen at Camp Lejeune. And so that's what we
23 try to do when we review the epidemiologic data.
24 And so -- and again, the health assessment has a
25 different purpose than our studies. It also has a

1 different purpose than our effort to brief the VA,
2 for example, on what we saw in terms of the
3 epidemiologic evidence, because the health
4 assessment, correct me if I'm wrong, Mark, bases the
5 risk estimates on published information on what they
6 call cancer potency and other reference level
7 parameters that are based primarily on animal data,
8 because that's the best data, where you can control
9 how much the animal is exposed, and then be able to
10 calculate these. Some of them are based on human
11 data but most, I would say, are based on animal
12 data. So keep all that in mind.

13 So then the exercises that Dr. Breysse was
14 mentioning, where we're trying to predict, and Mark
15 mentioned too, a risk, we have to use these kinds of
16 published parameter data to do that. But that
17 doesn't mean that if you look at the epi evidence
18 we'd have a longer list maybe of cancers on that
19 line there.

20 **DR. JOHNSON:** Right, so the quantification is,
21 as Frank mentioned, is based on animal studies and
22 to some extent some human studies. But we
23 acknowledged in the discussion and in the document,
24 though, that there are other studies that validate
25 this or indicate other risks as well. So the other

1 point I wanted to make here is I'm just going on two
2 sentences from the conclusion discussion. The end
3 point you mentioned about fetal cardiac malformation
4 is in fact the exposure that occurs in a pregnant
5 woman and the effect on the fetus. We can certainly
6 reword this in a way that's more clear.

7 **MR. ORRIS:** Just to tie back one more time.
8 Frank, I just want to ask you, is there valid
9 scientific -- would you find from a scientific
10 standpoint any useful information from doing a
11 current study on children who were exposed at Camp
12 Lejeune? Would there be a body of scientific
13 evidence that could be useful from a study of
14 children exposed at Camp Lejeune, even as far as the
15 DNA study?

16 **DR. BOVE:** Well, the survey is that attempt,
17 and we'll discuss that when we're ready -- when it
18 goes through clearance and so on. But that is the
19 effort we did for that purpose.

20 **MR. ORRIS:** But would there be valid scientific
21 usefulness for a study of an exposed population
22 (indiscernible)?

23 **MR. ENSMINGER:** The problem would be finding
24 them. That was the problem they had with the
25 initial study and the survey. First they did the

1 survey. And they had so much difficulty because
2 there are no records on those kids. I mean, there's
3 so many of them, I mean, you'd have to track them
4 all down, and I don't know -- it would be a
5 monumental task.

6 **MS. FRESHWATER:** Can I just --

7 **MR. ORRIS:** Well, hold on just one second,
8 Lori. Really quick, thanks to the efforts of
9 everybody here, the level of knowledge of exposure
10 at the base has greatly increased. There is a large
11 percentage of people who were born at the base who
12 are experiencing problems that were not contacted
13 initially. But maybe an effort ten years after the
14 last survey was done would generate better
15 participation results.

16 **MR. ENSMINGER:** Well, and I can tell you now,
17 from my knowledge, that the way that the health
18 effects that were selected for the initial study
19 were whittled down by the Department of the Navy.
20 Your health effect was left out of it.

21 **MS. RUCKART:** And this is Perri; I have a
22 response for this. So for our studies we have to
23 use a population that is identified systematically
24 in an unbiased fashion, you know, not where we have
25 people call in; we have records. So we have that

1 for the health survey. Also, though, for the health
2 survey we did send those to people who registered
3 with the Marine Corps. We did get information from
4 those people, and we will be publishing a separate
5 report about what they reported. It'll be separate
6 because they weren't identified in the same way, and
7 it's not seen to be as scientifically credible. But
8 we do have those people, and we will be publishing
9 that -- some type of report on that as well.

10 **MR. ORRIS:** So would a birth certificate from
11 the base suffice to be able to be included in that
12 study? If you were born at the military hospital on
13 base, wouldn't you be able to be included in that
14 study? And, and a further point here, just to let
15 you know, my father is a retired 30-year sergeant-
16 major in the Marine Corps who was also a retired
17 civilian employee at the base. And you guys are
18 telling me, for somebody whose father worked at the
19 base during the time that these were going on, that
20 somehow I was not able to be included in the study,
21 and I never even knew about it until a couple years
22 ago, when President Obama signed the law with Jerry
23 Ensminger. It's a complete and utter failure of
24 notification.

25 **MS. FRESHWATER:** Chris, Chris, let me --

1 **MR. ORRIS:** So what, what I'm saying is --

2 **MS. FRESHWATER:** -- Chris, Chris. Let me --

3 **MR. ORRIS:** -- is, is --

4 **MS. FRESHWATER:** -- just say something. I
5 think -- I'm not going to disagree with you, but I
6 think at this point we have to -- I lost two
7 siblings to neural tube defects. They're not
8 included in any study. There has been -- right, I
9 know, but what I'm saying is that at some point we
10 have to put our personal -- because it's a
11 science -- the science is doing all it can, and we
12 can't -- because -- I mean, what we're looking at is
13 an impossibility --

14 **MR. ORRIS:** Right.

15 **MS. FRESHWATER:** -- to try and go back and find
16 where people have moved, and all of that. And I
17 agree that --

18 **MR. ORRIS:** I disagree --

19 **MS. FRESHWATER:** -- we can move in that
20 direction --

21 **MR. ORRIS:** -- the effort should be made.

22 **MS. FRESHWATER:** They are making effort, I
23 mean.

24 **DR. BREYSSE:** Chris, we will reconsider what is
25 conceivable, what we can do -- if we think we can do

1 a better job, reconsidering it, we will look into
2 that. Frank and I know about the limitations that
3 we have talked about.

4 **MR. HODORE:** I just have one question. I
5 didn't mean to interrupt you, Dr. Breysse, by no
6 means. I just want to know that these women Marines
7 are having multiple miscarriages, multiple
8 miscarriage. And the Marines has, in certain cases,
9 covered these miscarriages up, to these babies.

10 **MS. RUCKART:** Bernard, I didn't forget about
11 you. We just haven't had a chance to get back to
12 you, but --

13 **MR. HODORE:** I'm sorry. I'm sorry.

14 **MS. RUCKART:** That's okay. Miscarriages are
15 included in the health survey as something we were
16 looking at. And when we report on the health survey
17 results when they're available, we'll give the
18 results of what we found, so we didn't -- you know,
19 we did include it. I don't want you to think that
20 we forgot about your question. And also we did look
21 at that, as among the Marines and the civilian
22 employees. So we had both those groups.

23 **MS. FRESHWATER:** And Chris, I just want to say
24 I know, Chris, your frustration. You found the baby
25 graveyard. But I'm saying it does have something to

1 do with it because we keep -- it's limitless the
2 amount of times that we keep getting new information
3 on people who were in utero on base who didn't live
4 when they were born. So I'm just trying to
5 validate, not only yours but all of the people who
6 you're speaking for, and myself who lost family
7 because of it.

8 **MR. ORRIS:** As a child who was exposed before
9 birth, the medical problems that I experience are
10 different than a lot of other people, and other
11 children like Mike who were exposed in utero, before
12 birth. We are a willing population for further
13 scientific study. Like Mike said, this is a pool of
14 medical information that can be used, not just for
15 this situation but for many others, and I think
16 every effort needs to be made to try to address
17 this.

18 **DR. BREYSSE:** I don't want to let Mark off the
19 hook, thinking we'll forget about him. We hear you,
20 Chris, and if we can do better, do more, we will
21 try.

22 **DR. JOHNSON:** And the third conclusion, again,
23 focusing on the --

24 **MR. ENSMINGER:** Let's just back up to
25 conclusion two because you didn't cover the rest of

1 that, because you had a however at the end of this.
2 It says, however Marines who were exposed to water
3 from Hadnot Point that lived in Tarawa Terrace may
4 have had cancer risks similar to Marines who lived
5 at Hadnot Point.

6 I don't know what you guys think about the
7 dependents that lived in this other housing area
8 which was Tarawa Terrace, but they weren't
9 sequestered there, okay? All the main services on
10 that base were located at Hadnot Point. The
11 mothers, up until 1983, when they took their
12 children to the hospital for check-ups or they were
13 sick, for doctor appointments, went to the old
14 hospital, which was on the Hadnot Point system.
15 They would go to the commissary and the main
16 exchange. If they had legal appointments they had
17 to go over to the base legal office. All this
18 stuff's located at Hadnot Point, the bowling alley.
19 I mean -- stables. I mean, everything was -- yeah,
20 the theaters, I mean, everything was -- I mean, so
21 could you say the Marines, the sponsors that lived
22 at Tarawa Terrace, and then went to Hadnot Point to
23 work, and then came home, had an increased risk.
24 You're leaving out their family members.

25 **DR. JOHNSON:** Right. We can -- that's a good

1 point. And again, the focus of this was on where
2 people would've received most of their exposure to
3 water, which would be residential. We tried to
4 include it in the uncertainty discussion that there
5 were risks that could be in addition to that of the
6 residents.

7 **MR. ENSMINGER:** I still think that poo-pooing
8 215 parts per billion of PCE in your tap water is
9 saying that that falls within the EPA's acceptable
10 risk levels is a bunch of crap, because the EPA
11 created a standard of five parts per billion, an
12 MCL. We know that the highest levels in the tap at
13 Tarawa Terrace were 215 parts per billion. But, you
14 know, no harm, no foul? No.

15 **MS. FRESHWATER:** And let's not forget the
16 children were bussed to Tarawa Terrace from Main
17 Side, which I was. I went -- I lived in Paradise
18 Point and was bussed to Tarawa Terrace for school
19 for three years. So just to mention, again, family
20 members should always be included as being
21 everywhere on base.

22 **DR. BREYSSE:** And these are great comments, and
23 we want to hear them all, but I want to caution you
24 again -- this is Pat -- make sure you put these
25 comments in writing so we get them in the system as

1 well. But we -- you know, the report has some
2 limitations, and if we can address those
3 limitations, we'll try. If not, we'll make sure we
4 acknowledge them appropriately so they're not -- so
5 that they're in the report and people understand
6 that there are certain things we weren't able to do.

7 **MR. ORRIS:** And this -- just one more thing.
8 This is Chris Orris again. Something that I do not
9 see in here, and some of your sister agencies talk
10 about, is the exposure level to vapor intrusion of
11 TCE and the risk to pregnant women. And I'm looking
12 right here, and I mean, the EPA's guidance is that
13 there is no acceptable level of TCE exposure to
14 women who could be of child-bearing age because of
15 the risk of cardiac defect in utero from the
16 exposure.

17 And then all of this, I'm not seeing, you know,
18 this is the very simple fact that any pregnant woman
19 who walked on that base received enough of an
20 exposure level, according to the EPA, to have a
21 cardiac defect. And I really think that that should
22 be addressed in there somewhere.

23 **DR. JOHNSON:** Right. So the vapor intrusion
24 assessment is a separate assessment. This is for
25 the -- a different data source. We're looking at

1 the drinking water used and exposure from that. And
2 that's obviously the effects on in utero exposure as
3 a primary outcome that we evaluated in terms of TCE
4 exposure in the document.

5 **MS. FRESHWATER:** Dr. Breysse, would it be
6 helpful for you to do a very brief -- to speak to
7 how this is kind of a retroactive redo? Because I
8 bet there's probably a lot of people that don't
9 understand the history of the PHA. Do you know what
10 I'm saying? That might explain to the --

11 **DR. BREYSSE:** Yeah, but I'm not sure I'm the
12 one who can explain the history since a lot of it
13 predates me.

14 **MS. FRESHWATER:** How about Rick or Dr. Bove?

15 **MR. GILLIG:** Rick Gillig, ATSDR. So ATSDR
16 issued a final public health assessment back in
17 1997. That was prior to Morris doing his modeling
18 effort. As a result of what Morris and his team did
19 for modeling the drinking water distribution and
20 exposures, this new public health assessment, that
21 we're discussing today, incorporates the results of
22 the water modeling effort. So we have much more
23 information about where on Camp Lejeune contaminated
24 water was distributed and where it was consumed, and
25 that is why we're updating that older document.

1 **DR. BREYSSE:** And I'll add to that, that we did
2 not, when we got the new data on the water modeling,
3 we did not want that report to stand as being
4 anywhere near the end of the story or what we think
5 really happened.

6 And so at that point, even though we'd already
7 started the epi studies, we were trying to be more
8 quantitative about this (indiscernible) exactly.
9 And so we had to do this public health assessment
10 because it was flawed, and we had to address those
11 flaws with the most recent information, to set the
12 record straight. So I think that's part of what we
13 mean when we say the cart's a little bit ahead of
14 the horse here. But I think it's important for us
15 to acknowledge that that report wasn't right because
16 we didn't have the correct information, and we're
17 trying to make it right today.

18 **MR. PARTAIN:** And to add to what Rick was
19 saying -- yeah, and thank you for pointing out that
20 the original document was flawed. From 1997 to 2009
21 it stood, and the Agency stood behind that document
22 until the community established that there was
23 benzene in the water.

24 Now, back in September of 2014, 2015, Jerry and
25 I did a presentation to ATSDR at a CAP meeting of a

1 lot of issues with the original public health
2 assessment. So it's not just the water model that's
3 preempting -- having you guys go back and take a
4 look. The document was seriously flawed to begin
5 with, and it was withdrawn by this agency because of
6 those flaws. And every Superfund has to have a
7 public health assessment, so therefore it had to be
8 revised.

9 One thing I wanted to get back on track on,
10 with the Tarawa Terrace. EPA's Superfund target
11 risk range, what is that number? Because I know
12 when looking at the snarls from the EPA back in the
13 day, in the 1980s, they were addressing short-term
14 exposures. And the exposures that occurred at
15 Tarawa Terrace were, you know, not occupational;
16 they were lifestyle exposures. And some families
17 went on for years and up to a decade. And the
18 snarls at the time for the EPA, you know, said
19 specifically that these were not meant to be
20 addressing long-term exposures, so I'm a little
21 concerned with that verbiage to say that it's within
22 the accepted EPA risk range. Can you give me a
23 number?

24 **DR. JOHNSON:** Yeah, the citation of the EPA's
25 cancer risk range is (indiscernible) contacts. It

1 doesn't affect decisions or conclusions. So for
2 example, the EPA uses a 10 to the minus 6, or one
3 excess cancer risk in one million exposed
4 individuals, as for the screening level. And it
5 affects their regulatory decision process. So
6 (indiscernible) one in a million, there's no further
7 option.

8 And then the other endpoint that was cited is
9 the one in 10,000, one excess cancer risk in 10,000
10 exposed individuals, or ten to the minus four. So
11 between those ranges decisions can be made whether
12 or not there's need for remediation or removal from
13 exposure. So that's the context that we provided in
14 the document.

15 **MR. ENSMINGER:** How are they coming up with
16 these numbers?

17 **DR. JOHNSON:** It's based on an estimated
18 theoretical cancer risk, which is assuming a certain
19 potency of these carcinogens, then utilizing the
20 exposure estimates to determine what is that cancer
21 risk.

22 **MR. ENSMINGER:** So you're using rats?

23 **DR. JOHNSON:** The quantitative assessment of
24 cancer risk for these chemicals is predominantly in
25 animals; that's correct.

1 **MR. ENSMINGER:** So basically it's not based on
2 any human data.

3 **DR. JOHNSON:** Well, as Frank mentioned, we do
4 include in the discussion even though the
5 quantitative cancer risk is based on these studies
6 that allow us to make those response conclusions,
7 because these are designed to know what the
8 relationship is, there's more uncertainty about the
9 exposure in humans to -- that would cause specific
10 effects. But we certainly cite the evidence for
11 that in our discussion section of the document that
12 included other endpoints that were not part of the
13 animal studies.

14 **DR. BREYSSE:** So these are reasons why this is
15 considered kind of a scoping exercise in terms of
16 just what we think it's possible what we should
17 focus on in more detail. So it doesn't preclude
18 anything else, I want to say again, from occurring,
19 and it doesn't suggest that these risks now define
20 the populations in a way other than indicated.
21 There are general health effects. We believe those
22 health effects are associated with exposure at the
23 base, and that's the take home now.

24 **DR. JOHNSON:** And another point about the
25 drinking water standard. We're not saying that

1 that -- the fact that these levels were not a
2 concern or should not have been addressed. The
3 issue is whether or not these levels would've caused
4 health effects. So it's a different question of
5 whether or not it exceeded the drinking water
6 standard, which should have triggered a regulatory
7 response to take action. We're addressing more the
8 health impacts of that exceedance of the standard.

9 **MR. ENSMINGER:** Yeah, well, hell, I mean, if
10 you're going to turn your nose up at 215 parts per
11 billion, why don't you just make the MCL 300?

12 **DR. JOHNSON:** The drinking water standard is
13 not intended to be a threshold for health effects.
14 It was intended to be a buffer so that you're not
15 taking action at levels that cause health effects.
16 You want that actually to be well below that.

17 **MR. ENSMINGER:** No, I disagree. That's crap.

18 **MR. PARTAIN:** It just seems like the verbiage
19 on here is downplaying exposures for adults at
20 Tarawa Terrace. That's what -- I mean, that's what
21 I'm reacting to, 'cause me, reading this, it says,
22 okay, you're exposed. There's nothing here. The
23 risks are here, which is what -- that's what I'm
24 reading, and I'm concerned.

25 **DR. BREYSSE:** So that's a fair comment. We

1 will consider that comment. Can I make a procedural
2 kind of request? So we're past where we want to
3 take a break. And you have how many more slides to
4 go through?

5 **DR. JOHNSON:** A few but we can go through them
6 quickly.

7 **DR. BREYSSE:** So there's two things here. I
8 want to make sure that -- the goal of this
9 presentation is just to give you guys an overview.
10 And of course like I said before, you know, we want
11 comments, and you'll have an opportunity to make
12 those comments. But maybe, just to expedite, if we
13 can walk through the rest of the slides, if there
14 are really important things, we can deal with them,
15 but we can -- this is not the end of your
16 opportunity to have input into this report. Just
17 keep that in mind.

18 **DR. JOHNSON:** Okay. So then the third location
19 is Holcomb Boulevard. Again, our conclusion
20 generally is based on the evidence from a sampling
21 of -- and modeling of Holcomb Boulevard water
22 supply. That was not expected to be expected to
23 harm human health. However, the caveat, though, is
24 that there were periods of time, in 1978 and also in
25 early 1985, where Holcomb received water from Hadnot

1 Point water supply. And during those periods of
2 time there could've been exposures that could've led
3 to health effects for pregnant women and we think on
4 the fetus, and we acknowledged that as a potential
5 risk.

6 The other exposures that we included in the
7 assessment, then, as I mentioned about laundry
8 facility, dining operations, indoor pools during
9 that time could also have been associated with human
10 health impacts, and those non-cancer endpoints are
11 described there.

12 **MR. ENSMINGER:** You need to include base
13 firefighters to that. They lived there at the
14 firehouses aboard the base two weeks at a time.

15 **DR. JOHNSON:** Okay. And then, you know,
16 civilian workers on the base are part of the overall
17 assessment. If they lived on base they would
18 obviously have had a greater exposure than living
19 off base.

20 I won't go through these results here. As I
21 mentioned one of the objectives we had with this
22 assessment was to present information as clearly as
23 possible, and our attempt here was to summarize
24 probably hundreds of pages of tables and
25 spreadsheets in a way that might be more visually

1 effective. As an example here, this is showing that
2 we have this for each chemical. And if my cursor
3 shows...

4 **DR. BREYSSE:** Is there a pointer up there or?

5 **DR. JOHNSON:** (pause for equipment) So this is
6 the example for trichloroethylene. What we've done
7 here is looking at both ingestion of TCE in
8 drinking, and then inhalation through showering and
9 bathing. As with the spike here that is for Hadnot
10 Point and Tarawa Terrace, identified the groups that
11 had the highest exposure. So in this case we have
12 children, we've got workers and we've got Marines in
13 training.

14 What we're showing here then is, in yellow, is
15 the cancer risk that we've quantified in the
16 assessment. And we're showing here in the dot is
17 the average exposure. And the end of that, the
18 stick, is the upper end, 95th percentile. So this
19 gives you a sense for the range of exposure and the
20 cancer risk associated with that. And we've done
21 that for both Hadnot and Tarawa Terrace.

22 And the other comparison to that is what's
23 shown in triangles here, and this is the cancer risk
24 estimates that I mentioned, the ten to the minus 6th
25 and ten to the minus 4th is in context. And so we

1 can see, then, what the cancer risk is for those
2 groups at those locations.

3 **UNIDENTIFIED SPEAKER:** (off-mic question)

4 **DR. JOHNSON:** Right, they're probably either
5 our assessments we'll call (indiscernible) or EPA's
6 reference doses, right? And then --

7 **MR. TEMPLETON:** Excuse me, is that cancer risk
8 any time in their life? Is that cancer risk any
9 time in their life?

10 **DR. JOHNSON:** Right, so this is a lifetime
11 cancer risk. So I mentioned that we were looking at
12 were the children, families and for Marines in
13 training, a three-year period, but we're looking at
14 lifetime risk from that exposure, right. That's a
15 good point.

16 And then the purple color, then, is the non-
17 cancer endpoint that I mentioned, liver, kidney and
18 other effects, as well as the fetal effects on
19 development. And those are shown as what we refer
20 to as the non-cancer doses, and those are, again,
21 shown for each of those groups. And then these are
22 the reference comparisons and the -- for the
23 triangles, then, to these various endpoints.

24 So the idea is trying to put this into context,
25 so you can see where the exposure -- these are the

1 maximum levels of exposure. It's in context of how
2 this relates to effects that we've identified either
3 from epidemiological studies or from animal studies,
4 of the comparison of those doses.

5 **MS. CORAZZA:** This is Danielle Corazza. I have
6 a question. It says zero to three for the child
7 residents, but the earlier cite said children under
8 six. Was there a reason for the age?

9 **DR. JOHNSON:** I think under six had to do with
10 vinyl chloride specifically, the adjustment. So
11 that the zero to three would've included that
12 adjustment at this point.

13 **MR. TEMPLETON:** This is Tim Templeton. I'm
14 looking at the non-cancer effects and the cancer
15 effects.

16 **DR. JOHNSON:** Yeah.

17 **MR. TEMPLETON:** And it looks like the non-
18 cancer effects is at a higher dose.

19 **DR. JOHNSON:** Yeah, so this is just a dose
20 estimate.

21 **MR. TEMPLETON:** Shouldn't it be the opposite?

22 **DR. JOHNSON:** Right, so the way you estimate
23 cancer risk is that you take the duration of
24 exposure, which would've been three years, and
25 divide it over a lifetime. So you're averaging that

1 dose over that lifetime. Whereas with the non-
2 cancer you don't do that. You do it for the
3 duration of exposure. So it gives the impression of
4 a difference in -- it's just the way the
5 calculations are in terms of the exposure dose, that
6 we compare it to the reference levels.

7 **MR. TEMPLETON:** You know, given that, and thank
8 you for the explanation, but it seems like the non-
9 cancer effects would actually be at a lower
10 threshold, might occur at a lower threshold.

11 **DR. JOHNSON:** That is true. And especially the
12 fetal effects are definitely at a lower dose.

13 **MR. TEMPLETON:** That's the way I read the LLPLL
14 and the other metrics. Okay, thank you.

15 **DR. JOHNSON:** So that, again, we welcome
16 feedback about this as a visual tool that will help
17 communicate information that we hope is better than
18 just a bunch of tables and numbers, that it might be
19 a more effective way to visualize these conclusions
20 that we've drawn from the document.

21 And so I'll just show you this is tetrachloro-
22 ethylene, the same idea, the same format, looking at
23 the two locations, the same sorts of references,
24 then, for those, so I'll just kind of show that
25 example.

1 And then vinyl chloride that I mentioned where
2 we applied the additional risk factor for the early
3 life exposure, where you see, you know, to the
4 distinction here in terms of non-cancer and cancer
5 risk. So again, these are tools that we're using to
6 try to communicate information, but we welcome your
7 feedback on those.

8 Regarding the lead exposure, the conclusions
9 are that past exposure to lead in tap water at the
10 14 locations where it was being monitored could've
11 harmed people's health. And that's related to not
12 only drinking water but also exposure to other lead
13 sources that could be in the home, lead-based paint
14 as being one of the primary concerns of that
15 exposure to young children, and exposure to pregnant
16 women and the developing fetus.

17 And then for the current and future exposures
18 the potential does remain, because it was mentioned
19 there are good lines that are providing drinking
20 water currently that need to be monitored and
21 sustained so that you limit exposure from those
22 sources. And so the statements here that the lead
23 could be from the copper -- I'm sorry, from the
24 fixtures as well as from the lead pipes that are
25 used to the -- in the water system it could leach

1 lead into the tap water, especially when it's used
2 for hot water, to increase the rate of leaching into
3 the water.

4 And we also support the additional efforts of
5 Camp Lejeune that began in 2013 to increase
6 monitoring frequency, to make sure that if there are
7 problems they're identified early, minimize
8 exposure, to collect an immediate follow-up sample
9 whenever there's lead that's elevated is detected,
10 and to follow EPA's guidance regarding schools and
11 daycare (indiscernible) strategies, to make sure
12 that those -- early interventions are identified
13 early on before exposure becomes a problem.

14 In terms of follow-up, the next steps we have
15 is to continue to provide health education
16 information when individuals are concerned about
17 their health risks, through the CAP, through the VA,
18 through our website as a resource for -- to get
19 information, and also to provide copies of the
20 document that we're releasing now to public health
21 officials as well as the public for their comment
22 and review. It'll also be posted on our website as
23 well.

24 **MR. TEMPLETON:** I got a question concerning the
25 lead attachments that are on there. I know there's

1 been a little bit of public debate with recent
2 events in Michigan and so forth about the way that
3 some of the tests are done and the way that they are
4 interpreted in the current regulatory framework on
5 it, and that maybe that's not adequate. You may
6 have heard that. I'm not expecting a response from
7 you on that, but my question is that were the
8 results from those used for this or were there some
9 tests and results that went beyond the regulatory
10 tests that are required? Especially something on
11 the order like, if you know if you only have five
12 sites that show elevated --

13 **DR. JOHNSON:** Right.

14 **MR. TEMPLETON:** -- then it's not reportable,
15 not actionable. It's not above an action level.

16 **DR. JOHNSON:** Right. A good point. I spent
17 over a month in Flint. I just came back last night
18 along with Dr. Breysse. And so the issue has to do
19 with the EPA has a lead and copper rule that
20 regulates lead exposure in lead systems, water
21 systems. And so there's several issues. I know one
22 of the problems with Flint was that they were
23 utilizing a septic protocol which would allow for
24 flushing the water before you take your sample,
25 which could underestimate that early exposure that

1 could occur when you first turn your tap on in the
2 morning. And so that was certainly a violation of
3 what should've happened in terms of assessing. So
4 they probably were masking some problems because of
5 that septic protocol.

6 The feature of the lead and copper rule is that
7 intervention's already required when 10 percent of
8 the samples exceed the actionable level of 15 parts
9 per billion. And that's a regulatory criteria. And
10 there is debate about whether that's an appropriate
11 endpoint.

12 **UNIDENTIFIED SPEAKER:** (inaudible)

13 **DR. BREYSSE:** We can't hear you if you're not
14 using the microphone.

15 **MR. TEMPLETON:** Yeah, this is Tim. So that's
16 what was used, not anything beyond the regulatory
17 criteria.

18 **DR. JOHNSON:** I'll let Danielle Langman, whose
19 (indiscernible) prepared the one section of the
20 document, to respond to that question.

21 **MS. LANGMAN:** Okay, hi, I'm Danielle Langman,
22 and I did the lead evaluation. The data was that
23 the -- that had been collected, that, to my
24 knowledge, it was through the public works website
25 in reporting it. And it did follow the rules where

1 it let the water be stagnant for eight hours, and
2 then you take the sample, so it did not include
3 flushing in the lines.

4 The way that we evaluated health in the
5 document was using that EPA model. And we did not
6 use the lead and copper rule, where if you have --
7 you have to have 10 percent over one. We looked at
8 it that if you have one -- it's a single sample,
9 over 15, and what that could do for elevating blood
10 lead.

11 The base did change in 2013, and the data that
12 we had pulled when we started writing this went
13 through 2013. But now, if they get a single sample
14 when they go out to -- when they do their
15 monitoring, if they get a single sample that reads
16 15 or above, they immediately will go back and do a
17 second sample. And I think that's a really good
18 thing 'cause some of the reported levels were, you
19 know, 1,400, which is way above 15. And there
20 wasn't an immediate follow-up sample to see what was
21 going on. And so I think that the base did change
22 the way they're doing their monitoring and how
23 they're reacting to they're monitoring, so
24 hopefully, you know, there won't be elevated levels
25 of lead in water, and if they are, they immediately

1 will take a follow-up sample, and if they need to,
2 you know, replace a faucet or find out what that --
3 where it's coming from.

4 **MR. TEMPLETON:** So now they are going beyond
5 just what the regulatory requirement is.

6 **MS. LANGMAN:** Yes. The regulatory requirement
7 is that lead and copper rule. There also there's
8 EPA put out guidance for daycares and schools, which
9 goes well beyond that -- that they don't have to
10 follow but they are following that as well. And
11 they have their own sampling strategy that they go
12 out immediately -- if there's a sample at 15 or
13 above, they will immediately go out and take a
14 follow-up sample to see, you know, was it an
15 aberration, you know, did they not test right, you
16 know.

17 **MR. TEMPLETON:** Thank you very much. I have
18 just one quick little point and I'll let this go,
19 but it is an important point. Is that in going back
20 and looking at these (indiscernible) that are issued
21 all the way back to (indiscernible) from the base.
22 This is Tim Templeton again, by the way. There were
23 some -- there was a situation that they actually did
24 have some violations, but yet in a three-year
25 period, if you don't have any violations, then you

1 can use the results of the last report that was
2 used. And they did that, but they did that in a
3 scenario where there were violations. So why they
4 were using data from a previous report that shows
5 there were no violations, when they had violations
6 that had occurred. It actually should have kicked
7 in on the rule. It should have kicked in a little
8 more aggressive testing regimen, but it apparently
9 did not.

10 **MS. LANGMAN:** Yeah, and that's one of the
11 reasons that we originally had pulled the data and
12 were looking at those consumer confidence reports,
13 but they are summaries. And so I think I only had a
14 paragraph in the document saying that, yeah, we took
15 a look at them, and they're summaries. And instead
16 of making a health call and doing an evaluation on
17 the summaries, which are averaging data and doing
18 those types of things, we instead went back and
19 pulled the actual sample results, and reviewed the
20 sample results ourselves instead of using those
21 summary reports.

22 **MR. TEMPLETON:** That's very thorough. Thank
23 you very much. That answers my question.

24 **MR. ORRIS:** I have a follow-up question as
25 well. I noticed in the report that I -- this is

1 Chris Orris by the way -- I noticed in a report that
2 you had mentioned that there were three children who
3 had blood lead level in 2014 and 2015, and what I
4 did not see here is the follow-up on where that
5 exposure occurred. Were you given that information?
6 Did the base itself follow up and find out where
7 those blood levels were -- where that exposure was
8 that caused that blood level increase?

9 **MS. LANGMAN:** Danielle. We actually, before I
10 became the lead person working on the site, Rob had
11 asked many, many times for blood lead -- the, you
12 know, sampling data, so that we could report it.
13 And when we had the original, the version that the
14 CAP and the external peer reviewers, after that
15 report went out the Navy provided us with a summary
16 report. So I don't know, you know. Like all I have
17 is the data that was reported there. And we can go
18 back and ask to see, you know, specifically -- I
19 included that data in between, you know, when I got
20 it in November and today. But, you know, if you
21 provide a comment or I can note it at this point,
22 but it's always good to have it in writing because
23 then I have to formally respond to it in the final
24 version of the document. But we can go back and try
25 and find out for those children if there had been a

1 water sample collected at their residence. I'm not
2 sure that they're going to have done that. But I do
3 believe as part of the -- I'll have to look at that
4 specifically and go back to that report, but I'm
5 pretty sure they do, if the blood lead level is
6 elevated, that they do go back and do an impact type
7 of assessment where they try and find out is there
8 something in the child's environment, whether it's
9 the soil or the water or whatnot, to stop, you know,
10 that exposure. And then there's always follow-up
11 testing that's done. Unfortunately I did not have
12 that. I just had -- there were certain people
13 with -- you know, three children with elevated
14 levels. But I don't know where they lived or
15 anything other than that.

16 **DR. BREYSSE:** So Chris, it's standard
17 practice -- this is Pat Breysse -- standard practice
18 in the lead field, if you have elevated blood level,
19 to do a -- put that child in some enhanced
20 surveillance that includes going to their home
21 looking for where the exposure is. So that's
22 probably ongoing, but I think you just heard that we
23 didn't have access to those data.

24 **MR. ORRIS:** So can I ask for an action item
25 that Melissa Forrest bring that information to the

1 next meeting, if possible, what the Marine Corps
2 does do when they do have blood lead levels that are
3 elevated as a result of testing?

4 **MS. FORREST:** This is Melissa Forrest. So you
5 want to know what process we follow for follow-up,
6 to gather more information on how this child might
7 have been exposed?

8 **DR. BREYSSE:** Yeah, follow up when you have
9 high blood lead levels.

10 **MS. FORREST:** When you have high blood...

11 **DR. JOHNSON:** Yeah, you might refer to it as
12 case management is the term that might be applied to
13 those cases.

14 **MR. ORRIS:** Correct, and also to be able to
15 identify where that blood lead level exposure
16 occurred, and what the Marine Corps is going to do
17 to mitigate that.

18 **DR. JOHNSON:** Okay, my last slide is the
19 current ongoing activities we're doing. You'll hear
20 more from Perri and Frank about the health survey
21 and also the cancer incidence study this afternoon.
22 I'll just also mention the vapor intrusion
23 evaluation is ongoing as well, so those will be
24 future information that you'll be provided.

25 So again, as I mentioned our document is now

1 out for public comment. We welcome your comments
2 and ways we can improve this, both in terms of the
3 text and content, but also in the visual graphics
4 that -- feedback from you about the effectiveness of
5 those as well.

6 **DR. BREYSSE:** Okay, I have 11:30 -- 11:15 on
7 my -- let's be back here at 11:30.

8 **MS. FRESHWATER:** I'm sorry, can I just ask on
9 the public comment, how long is that open for?

10 **MR. GILLIG:** The document has not gone out for
11 public comment yet. Y'all got an advanced copy.
12 The document goes out next week. It's dated on the
13 cover March 30th. It'll be out for 60 days --
14 actually a little over 60 days. We're asking for
15 comments by close of business June 3rd.

16 **MS. FRESHWATER:** Thank you.

17 **MR. PARTAIN:** One last thing, Dr. Breysse.
18 With the public health assessment, two caveats. I
19 do understand that this is a scientific document,
20 but there is an historical aspect on the document,
21 and I know that there's not a lot of room to go into
22 the history, but in the background description of
23 what transpired, of how the contamination was
24 discovered on the base, it is very opaque and
25 misleading. And it could be corrected with a few

1 facts that are missing on there. The way it reads,
2 it does -- the way it reads as stands, it seems like
3 the Marine Corps started testing out of their good
4 will in 1983, and discovered the contamination.
5 That's not what happened. And I just want to make
6 that for -- it didn't come out here obviously
7 because it's not really the heart of the document.
8 But it is important, as an historian, that the
9 background information, that people who are going to
10 be reading this, be correct.

11 **DR. BREYSSE:** I agree. We actually want to be
12 correct. And if it means that we have to admit that
13 we, you know, were publishing a report to correct
14 something that we wrote in the past that was flawed,
15 we need to say that. And if you can make sure you
16 put that in writing so we get that.

17 **MR. PARTAIN:** Oh, I will.

18 **DR. BREYSSE:** Morris?

19 **MR. MASLIA:** This is Morris Maslia, I guess,
20 speaking out of turn, but just to qualify that, I
21 didn't want to give the impression that ATSDR was
22 not going back further than that, because we've got
23 very, very specific history of contamination, and
24 one of the water modeling reports that specifically
25 go through the documents that were uncovered, I

1 mean, the Agency's aware of that. And that's out
2 there in the public as well.

3 **MR. PARTAIN:** Yeah, I understand that, Morris.
4 And like I said, it's the background information,
5 the beginning, which what people are going to read,
6 and I've testified in Congress about it, and Jerry
7 has too, and it's just the way the background
8 introductory is written, it's the benevolent testing
9 of the Marine Corps that found the contamination.

10 **DR. BREYSSE:** Tim, your sign's up. Do you have
11 a question?

12 **MR. ENSMINGER:** Hey, Morris, you going to
13 serenade us with your bongos later?

14 **DR. BREYSSE:** His ukulele. It's time for a
15 break.

16 **MS. STEVENS:** Be back at 11:30. Bye.

17 (Break, 11:20 till 11:40 a.m.)

18 **DR. BREYSSE:** All right, let's get going. All
19 right. Welcome back, everybody. We just finished
20 up with the drinking water public health assessment
21 reanalysis, and now we'd like to get updates on the
22 cancer incidence study and the health survey, so
23 we'll turn it over to Perri and Frank.

24

25

UPDATES ON HEALTH STUDIES

1 **MS. RUCKART:** So this is Perri. Some good news
2 to report. The health survey report is in final
3 draft, and it was started in our clearance process
4 earlier this month.

5 **MR. TEMPLETON:** Is there an ETA on when it
6 might come out?

7 **MS. RUCKART:** Well, I'll let someone else maybe
8 speak to that point because once it leaves my hands
9 I don't really, you know, can say what other people
10 are going to take to review it, but our thought
11 process at this point is to publish it as an Agency
12 report, a full document that has all the cohorts
13 studied in one place, and that would be the Marines
14 and Navy personnel, the civilian workers and the
15 children and spouses from the former survey all
16 included in one; whereas you saw for the mortality
17 study it was a journal article. It was in two
18 pieces, one for the Marines and Navy, one for the
19 civilian workers, and so then there was a delay
20 between getting the full picture up there. But our
21 thought is to have one Agency report for the whole
22 health survey, and then produce a journal article
23 later on just the Marines and Navy personnel. So
24 that is subject to change but that is our thought
25 process at this time. I don't know if Pat wants to

1 say any more about that.

2 **DR. BREYSSE:** I have nothing to add at this
3 time.

4 **MS. RUCKART:** The cancer incidence study, we're
5 also moving along there. I will mention again that
6 the cancer incidence study protocol was approved
7 last year. And we've recently brought on some staff
8 to help with beginning the process of engaging the
9 cancer registries and getting their approval to
10 receive the data, so we have some staff back there
11 who are working on that. They just started in the
12 last week or so, but there is movement there.

13 We've been meeting with colleagues about the
14 virtual pooled registry, the VPR. It's an effort by
15 NAACR, the National American Association of Cancer
16 Registries, and NCI, the National Cancer Institute,
17 to help facilitate large studies like this that want
18 to involve a lot of registries. So we're continuing
19 to engage with them, and wherever possible gain some
20 efficiencies by linking them into the process.

21 **DR. BOVE:** So and I have -- I made ten copies
22 of the protocol. It's not exciting reading but if
23 you want a copy come see me. I'd like to give one
24 to the VA but I only made ten copies, so I'd like
25 to -- if we could spread it around somehow or I can

1 make more copies later, so that we can -- everyone
2 who wants one can get one. Yeah, I can send it to
3 you electronically. Maybe that's better. Okay.

4 **MR. TEMPLETON:** This is Tim. Can we
5 disseminate that publically?

6 **DR. BOVE:** You can take it to CNN this
7 afternoon if you want. I'm sure they're not
8 interested but you can do that. Yes, it's official.
9 It's cleared. We're operating from it. That
10 doesn't mean there may not be some amendments down
11 the road, if needed, but this is what we're going to
12 be using.

13 As Perri was saying, there's this effort to try
14 to -- for the mortality studies there's a national
15 death index, where all the states report the death
16 information to one central place that's run by CDC.
17 And we can go there, and the studies are facilitated
18 very well that way. For cancer incidence, you have
19 to go to each state individually because there is no
20 such national system.

21 However, this effort that's being -- it's a
22 pilot effort. We're encouraging it. We actually
23 gave them the Camp Lejeune data that we will
24 probably use in the cancer incidence study. We'll
25 probably have a little bit more data when we're

1 ready to actually do -- go to the registries. But
2 initial data for them to send out to, I think, about
3 46 of the state cancer registries. They'll give us
4 back how many hits they had in their registry,
5 nothing more than that, and the year of that hit.
6 So we'll have counts. Yeah, a match, I'm sorry,
7 yeah. And so if they match in their registry a
8 person in Camp Lejeune to their registry they'll --
9 that's one person, and they'll say what year. So if
10 we have several counts, we'll get the number of
11 counts -- the number of hit -- matches by year, by
12 diagnostic year, for that state.

13 So that'll help us in terms of prioritizing
14 what states we're going to go after first or, you
15 know, say a state has very few, we'll still go after
16 it, 'cause we want all of the states, if we can, but
17 they'll have less priority than a state that has a
18 lot of matches, okay?

19 So we're using this -- and we are also hoping
20 this helps the process along for a national
21 registry. So that's really the reason we worked
22 hard to get the data into shape for them. We had to
23 change -- do quite a bit of data manipulation. So
24 that's the situation.

25 Going back to a previous discussion, we have

1 Social Security Numbers on the Marines and the
2 civilian workers. That's all we have Social
3 Security Numbers on. And for this kind of a match
4 Social Security Number's going to be key because
5 there are errors in the actual names in the database
6 that we got from the military. There are errors in
7 date of birth, unfortunately, too. For some people
8 they have two different date of births, usually a
9 year different -- a couple years' difference, and so
10 the problem is the actual year, not the day and
11 month. But some I tried to fix but some I couldn't
12 fix. For example, if someone either was a private
13 at age 18 or a private at age 28, I figured they
14 were probably a private at age 18. So those were
15 easy. But a lot of them weren't that easy. So you
16 have issues like that.

17 So but so if you don't have Social Security
18 Number, which we don't have for the children. If we
19 had Social Security Number for the children, I would
20 include them in the cancer incidence study, for
21 sure. But we don't, and so that's why it has to be
22 the Marines and civilian workers for this effort.
23 So anyway, so that's the -- any, any questions?

24 **MR. ORRIS:** Yeah, this is Chris Orris. Did you
25 make a request to the Department of the Navy for the

1 Social Security Numbers of the dependents?

2 **DR. BOVE:** I don't see how they would have that
3 information.

4 **MS. CORAZZA:** That's ATSDR (indiscernible).

5 **DR. BOVE:** What we're going to do is, in order
6 to do this study, we have to know if the person's
7 alive or dead. So when we get a -- down the road,
8 after we get approvals from the cancer registries,
9 we're going to hire a contractor, and that
10 contractor's going to use a locating firm to
11 identify who's alive and who's dead, and in the
12 process get a current address that might be helpful
13 to the registries. And maybe if there's any
14 information on date of birth it might help us. I'm
15 not sure what they'll be able to get, but any
16 information that will supplement the information we
17 got from the defense manpower data center, the
18 personnel data, we'll use.

19 **MS. RUCKART:** This effort was already
20 undertaken for the health survey. We sent all the
21 names and whatever identifying information we had
22 for this group at that time, and without Social
23 Security Number it can be hard to find people these
24 days, especially with the women getting married,
25 changing names. They got -- they didn't get a

1 hundred percent.

2 **MS. CORAZZA:** But if you think it's 95 percent,
3 would that -- I mean, you'd be able to include them
4 or?

5 **DR. BOVE:** Again, you'd have to get Social
6 Security Number for the children, and that's the
7 problem. We don't have it.

8 **DR. CANTOR:** Okay, Frank, I have a question.
9 Many states right now have very extreme restrictions
10 in terms of accessing their data and matching -- and
11 getting back to you specific data that would be
12 helpful in an incidence study. So is there any
13 discussion now of trying, within the group that
14 you're working with or the extended group, to go
15 back to states to have them change their
16 legislation, statewide legislation, in fact, to make
17 this more feasible?

18 **DR. BOVE:** I haven't heard that discussion.
19 You know, I'm going to bring that up when we discuss
20 it with them that this is another issue. They're
21 aware of it. They're definitely aware of it.
22 There's also issues between the state and the VA in
23 terms of reporting issues, and they're well aware of
24 those too. And so we're going to be talking to them
25 about it. The VA issue we can resolve because we're

1 going to work with the VA and the Department of
2 Defense's cancer registries too. But -- so that's
3 not an issue. But the issues with the states that
4 can't -- or by law some states cannot give us cancer
5 data linked to the person's -- we're going to give
6 them the Social Security Number, the name, the date
7 of birth and so on, but some states cannot give us
8 the data back with the cancer data linked to that
9 Social Security Number and name, by law. And so
10 we're going to have to figure out another way we can
11 get the same information from them some other way.
12 We're going to have to figure that out. And
13 there'll be some states where that will not be
14 possible. So it's likely that we won't get all 50
15 states involved in this cancer incidence study.

16 Keep in mind that the study that used the most
17 cancer registries, as far as I'm aware of, was a
18 study of Gulf War cancer study. And they used 28
19 states, and they didn't link it with personal
20 identifying information, so we're doing something
21 that hasn't been done before in this country, and so
22 we'll see how it goes.

23 **DR. ERICKSON:** Frank, if I just make a quick
24 comment -- yeah this is Loren Erickson, I'm sorry --
25 just for everybody, this is an extraordinarily

1 complex and difficult study, and yet are
2 tremendously important for many reasons. And just
3 for everyone who's in attendance, ATSDR, VA, we've
4 also linked arms and we have a common, shared
5 purpose in wanting to have a national cancer
6 registry created. President was asking for input
7 for legislation. It was -- they call it the moon
8 shot, you know how can we move forward cancer
9 research. And something that we both, I think,
10 independently came up with, and suggest that we can
11 also -- we've been in some meetings where we've
12 actually spoken to lawmakers. We've made this clear
13 that this is something we have to have.

14 **MR. ORRIS:** I have a question. Since you said
15 that you're going to be working together with the VA
16 and ATSDR in regard to this information, so the
17 family members who have registered for the family
18 member program through the VA, are you going to be
19 able to forward that information to Frank so that he
20 can include them in his study?

21 **DR. ERICKSON:** Is that in your protocol, Frank?

22 **DR. BOVE:** No.

23 **DR. ERICKSON:** So you know how this goes, with
24 research and such. It would need to be a part of
25 other study design that we would've discussed, et

1 cetera, so I think it's impossible.

2 **MR. ORRIS:** I mean, most of the people who have
3 registered for that are living with some kind of
4 problem, so that would certainly be a good pool for
5 you to pull from as well.

6 **DR. BOVE:** Again, we'd have to consider whether
7 it's a scientifically valid sample. And that's a
8 key issue. Right now, I think we have -- if we can
9 get this study done, which is extremely difficult,
10 as I said, it hasn't been done to this extent
11 before, we'll be good.

12 We're also -- we're aware of some of VA
13 researchers who are interested in Parkinson's
14 disease and maybe some of the other neurologic
15 diseases, where the VA has a national coverage, and
16 that might be added to this protocol at a later
17 date, if that becomes feasible. So we're still
18 limping around there. But I would like to look at
19 that too as an additional thing, if it's possible,
20 because there is a national coverage for that.

21 So again, this is looking at the workers and
22 the Marines. We're also -- in the mortality study,
23 we're going to expand the workers a little bit. As
24 for the Marines, we may try to expand a little bit
25 there too using some other methods that we didn't

1 use in the mortality study. Again, it's in the
2 protocol. I don't want to get into details if we're
3 not -- people aren't interested.

4 **DR. BREYSSE:** If there's no further questions,
5 I'd like to move to the next agenda item, which is
6 the Camp Lejeune CAP charter overview that we
7 conducted yesterday. Sheila, could you lead that?
8

9 **CAMP LEJEUNE CAP CHARTER OVERVIEW**

10 **MS. STEVENS:** Yeah. I'm going to be -- do kind
11 of a quick summary so we can get back on track and
12 be back on schedule for lunch, and then follow that
13 with the 1:00 VA portion of the meeting.

14 So yesterday we met with the CAP members, and
15 we discussed the charter. We renewed the charter
16 that we had. And what will happen, just so people
17 in the audience know, is I will make updates to that
18 charter. I will send to the CAP members as well as
19 members of ATSDR the changes to the charter as well
20 as a clean copy, so people can see where those are
21 in the charter, and then those -- that charter gets
22 posted to our website, so then everybody can see
23 what the charter looks like when it's in its final.
24 So I expect it to be posted no later than May of
25 this year, after everybody looks at it.

1 The second thing that we discussed was where
2 our future offsite locations would be for public
3 meetings. And the first one -- so in FY '17 fiscal
4 year, we're going by fiscal year, we will have our
5 meeting in Jacksonville, North Carolina, so that is
6 where Camp Lejeune is. So that will be the next
7 meeting. In fiscal year '18 we will have our second
8 meeting -- the next offsite will be in Washington,
9 D.C.

10 So we're looking at probably January of 2017
11 for the Jacksonville meeting, and we are looking at
12 probably the following January -- trying to do this,
13 though, because as you are aware, we're in a year,
14 we can't really do it in the December/October --
15 October/December time frame 'cause sometimes we're
16 at risk for funding, and not having a budget to work
17 with, so we are trying to do this so we know when
18 we'll have a budget and we can work and move forward
19 with people in travel and having an offsite
20 location.

21 **MS. FRESHWATER:** Sheila, can I just say
22 something real quick?

23 **MS. STEVENS:** Sure.

24 **MS. FRESHWATER:** I just would like to ask
25 everyone in the audience here and everyone listening

1 to please reach out and let people know we're going
2 to be having those two offsite meetings. And so
3 since there's clearly a lot of time to plan, so that
4 we can really have a good presence. Both places are
5 important symbolically. Washington, D.C. will be an
6 excellent opportunity for all of us to reach out to
7 Congress and to show a presence. So just keep that
8 in mind, and everyone try and follow that and join
9 us in those two offsite locations.

10 **UNIDENTIFIED SPEAKER:** What's those dates?

11 **MS. STEVENS:** We don't know exactly when those.
12 We're looking at January of 2017 for the
13 Jacksonville meeting. We just don't have a date
14 secured with that. And then we will look at
15 January 2018 for the Washington, D.C. meeting.

16 The next CAP meeting that is in Atlanta will be
17 August 11th, and that is based off of space available
18 here on our campus. We keep growing, and we have
19 limited space. So we will have that meeting
20 August 11, so for folks here in the audience, it'll
21 be August 11th.

22 The other thing we discussed, real quickly, is
23 that we are going to expand the time that we put our
24 meetings on our website. So usually we post our
25 meetings 30 days prior to our meeting, for people to

1 register for. We're going to go ahead and, probably
2 by tomorrow or Monday, I'll have the August 11th web
3 thing posted. Okay. It won't take long because we
4 have a template already put together. It just has
5 to change dates on it; so it won't take long to get
6 that posted.

7 But the other piece of that, for people who are
8 in the audience, just so you are aware, we do have
9 our secure -- our physical security, 'cause this is
10 a federal campus. We have to go through a security
11 thing. People do a background check on all names
12 for people who are registered, so that's why we have
13 kind of a ten-day period before the actual meeting
14 that we close the registration, so our physical
15 security can go ahead and check names, to make sure
16 everybody is good to come on campus.

17 So that is pretty much summarizes yesterday's
18 meeting. So again, August 11th will be our next CAP
19 meeting here in Atlanta, Georgia. That's all I
20 have.

21 **DR. BREYSSE:** So I have almost noon on my
22 phone. And I'm -- am reminded, having been at the
23 airport last night, and anybody who's traveling this
24 afternoon knows that the extra time has to be
25 allowed for security, in particular in Atlanta,

1 which is, you know, a big hub airport. So we want
2 to make sure that we finish on time or a little bit
3 early if possible. So let's have our lunch go from
4 12:00 to 1:00. Normally we have an hour and 15
5 minutes scheduled for lunch, but let's try and start
6 back here at 1:00.

7 **MR. PARTAIN:** One quick thing, Sheila, and this
8 is just for a request. For the Jacksonville CAP
9 meeting, if we could request from the Marine Corps
10 that the Marine Corps sponsor and hold a meeting
11 somewhere, either the visitors' center or on the
12 base or what have you.

13 **MS. STEVENS:** Mike, I will -- here's what my
14 suggestion would be, and we'll talk offline, but I
15 would prefer that to be an off federal campus
16 because of the security things, and all the things
17 you have to go through for that.

18 **MR. PARTAIN:** That's true.

19 **MS. STEVENS:** And I have no control over it.

20 **MR. PARTAIN:** I'm sure they have some type of
21 facility off base that they could offer.

22 **MS. STEVENS:** Your folks wanted Embassy Suites.

23 **MR. PARTAIN:** Yes.

24 **MS. STEVENS:** Okay. And we can discuss that
25 offline.

1 **DR. BREYSSE:** All right, see everybody at 1:00.

2 (Lunch recess, 11:55 a.m. till 1:04 p.m.)

3 **DR. BREYSSE:** All right, why don't we get
4 started. I have to apologize if I duck out for a
5 minute, but I may have to duck out, but I'll try and
6 get back in as soon as I can. So right now we're on
7 the VA updates, which is always my favorite part of
8 the agenda.

9

10 **VA UPDATES**

11 **MR. FLOHR:** Okay, this is Brad Flohr with VBA.
12 I want to talk -- we're going to talk about the
13 healthcare we're providing to veterans and their
14 families. We'll do that after we talk about the
15 benefits. Besides, I think you're most interested
16 in that. I may be wrong but I don't think so.

17 I'm sure you're aware that in December, after
18 we had briefed Secretary McDonald about Camp Lejeune
19 and told him of the noted association between vinyl
20 chloride and liver cancer, and benzene and
21 leukemias, and kidney cancer with PCE and TCE. He's
22 familiar with those chemicals. He used to be
23 involved in the dry cleaning business of some sort,
24 so he had an interest.

25 And after we had briefed him, and he had talked

1 with others, he'd like to meet with Senators Burr
2 and Tillis and Isakson, along with him and some
3 other people from VA as well. And he stated his
4 intent to create a presumption of service connection
5 for compensation purposes for three cancers: liver
6 cancer, leukemia and non-Hodgkin's -- no, not --
7 with kidney cancer, liver cancer and leukemia.

8 And he asked Dr. Breyse, who was there, if
9 ATSDR would work with us to go over the science as
10 it existed and provide us with a review of the
11 science and what they found. Then he and Frank and
12 his staff -- we met with them a couple of times,
13 came down here once, and then had conference calls
14 with them. Did an excellent job. Put together a
15 very large review.

16 And the Secretary determined -- then he
17 announced in February -- or on December 17th that he
18 wanted to create eight presumptions of service
19 connection. Those eight are kidney cancer, liver
20 cancer, non-Hodgkin lymphoma, leukemia, multiple
21 myeloma, scleroderma, Parkinson's disease and
22 aplastic anemia together with myelodysplastic
23 syndromes.

24 So we started right away getting busy writing
25 regulations. We informed the senators we would have

1 to go through notice and comment rule-making. And
2 after our discussions with OMB on that, that was
3 confirmed that we could not do a very quick
4 rule-making, but we drafted the regulatory language
5 fairly quickly. We were able to cost it fairly
6 quickly, and we put it into concurrence. We got it
7 out of VBA. It came back from general counsel, they
8 wanted some additional language in the rule-making.

9 While we were doing that, the Secretary, just
10 last week, week before last -- I think he's going to
11 announce it formally today, some of you may have
12 already heard, we're going to add bladder cancer to
13 those [applause]. That will make nine conditions.
14 Of course we had to pull back the rule-making and
15 re-cost it, and we did that in one day. Got the
16 initial language and got it costed working with our
17 finance people in one day, so it went back into
18 concurrence. So now it goes into -- goes back to
19 our general counsel. If they approve as it is
20 written now, it will go up to the Secretary's
21 office. They review it. Then they send it to OMB.

22 OMB gets up to -- they generally take up to 90
23 days to review regulations. We're going to push on
24 them to do this much quicker. This is the
25 Secretary's highest priority rule-making. And we've

1 already -- like I said, we've already talked to OMB
2 about it. They're expecting it. They're waiting
3 for it to get to them. We think they'll do it much
4 quicker than what they normally take. When they
5 approve it, it comes back, it gets published in the
6 Federal Register for notice and comment for 60 days.

7 We expect we will receive a lot of comments,
8 some favorable, some unfavorable. And when that
9 happens then we have to go through all the comments,
10 and we have to address each one in the final
11 rule-making. We draft a final rule-making, and once
12 that's done it goes back into concurrence. It goes
13 back to OMB for a second time. Then it will get
14 published as a final rule.

15 I can't tell you how long that will be but it
16 won't be within the next 90 to 180 days, I can tell
17 you that for sure.

18 **MR. ENSMINGER:** I have a question, Brad.

19 **MR. FLOHR:** Yeah.

20 **MR. ENSMINGER:** This is Jerry Ensminger. What
21 about all the denied bladder cancer claims?

22 **MR. FLOHR:** Okay. We have -- we can identify
23 them. Once the rule-making is finished, we will get
24 those -- that information. We will grant those
25 claims.

1 **MR. ENSMINGER:** So these folks that were denied
2 will not have to file an appeal.

3 **MR. FLOHR:** That's right. We're going to get
4 that -- we'll pull them out of our data, and we'll
5 grant those claims. [applause] Now, currently
6 we're continuing to process all claims, including
7 these nine, in Louisville, in our regional office.
8 If they can grant the claim, 'cause we do grant some
9 claims, they're going to go ahead and grant it. If
10 one of the nine conditions they can't grant, based
11 on our current process, they're not going to deny
12 it. We're going to stay it. We'll inform the
13 veteran we're staying the decision until the final
14 rule-making is published, and then we will grant
15 those claims as well.

16 **UNIDENTIFIED SPEAKER:** So Brad, even though the
17 rule's not enacted, and I know you said you had
18 discussions earlier with the SME group, I mean, can
19 you have a discussion with them saying, look, these
20 are going to most likely be approved. And I think
21 at the core I saw was that you guys approve if you
22 can, only reject if you have to. So but even
23 without the rule, can't there be an internal
24 presumption that these should be most likely
25 approved, and lower the burden?

1 **MR. FLOHR:** Yeah, it's -- that's tricky. We'll
2 have to think about that. You know, the people who
3 provide the medical opinions will be aware of this,
4 but well, we can tell them, hey, don't deny them. I
5 don't know if we can do that, based on evidence.

6 **UNIDENTIFIED SPEAKER:** Yeah, actually I'm not
7 saying -- you know, don't deny them, but maybe the
8 protocol's different.

9 **MR. FLOHR:** Give it -- consider them a little
10 more carefully or?

11 **UNIDENTIFIED SPEAKER:** Well, instead of having
12 a 90 percent rejection rate maybe you have a
13 70 percent rejection rate, or something better than
14 what you have right now.

15 **MR. FLOHR:** We'll take that back. We'll talk
16 about it. So that's the news on the benefits side.
17 And I think it's good news, it's probably you all
18 think it's overdue, and it most likely is, but we're
19 going to do this as well as we can. Yeah, Tim?

20 **MR. TEMPLETON:** Of course we just had the
21 presentation on the PHA that's coming out, and it
22 looks like that there's some additional information
23 that may regard some -- well, it appears to regard
24 some health conditions beyond the ones that are in a
25 presumption so I'm kind of curious, is there any

1 road map or some type of sort of a plan to
2 incorporate any of those or to examine those in
3 further depth?

4 **MR. FLOHR:** This is Brad. Yeah, any time we
5 get a new study, something like that, we review it.
6 And if it looks like we should add something, we
7 will.

8 **MR. ORRIS:** Brad, is that also going to include
9 for the family member program or is that only for
10 the veterans right now?

11 **MR. FLOHR:** Well, I think all of these are on
12 the list of 15, so it doesn't change anything far as
13 dependents or family.

14 **DR. ERICKSON:** Yeah, so Chris, you and I talked
15 about this earlier, but for the group, and I'm going
16 to tie together Tim's comment and question along
17 with Chris. This is not a one-time event. Science
18 goes forward. New information becomes available.
19 Frank Bove knows that I'm his biggest fan, waiting
20 for the incidence study to come out, even as complex
21 and difficult as it is. We're going to keep looking
22 for new information, new studies, new guidance, et
23 cetera. We're going to keep collaborating with
24 ATSDR, looking to have oversight from Congress.
25 There's lots of players in this.

1 So as it relates to where we're at right now,
2 this is a big step. It's a historic step in that
3 the Secretary has, for the first time, declared
4 presumptions for a garrison-based exposure. Okay,
5 this is not a deployment, go-to-war kind of exposure
6 situation; it's garrison-based. It's a big deal, a
7 very big step, one that's very, very necessary. But
8 this list, these nine, this is not the end of the
9 story. But as more information becomes available
10 we'll take steps.

11 Now, Chris, you and I talked about it, I'm
12 going to expand this a little bit. As we're made
13 aware of new information and ways that we need to
14 make adjustments, there are things that VA can do,
15 maybe through the Secretary making additional
16 presumptions on that list, but there are things that
17 Congress will have to do because there are things
18 the Secretary just simply can't do by law. Okay, in
19 other words, the Secretary cannot tweak the
20 different aspects of the 2012 law. Congress will
21 have to amend that law, okay, as it relates to the
22 family members. So Chris, you're question's very
23 well placed. Thanks for talking to me ahead of
24 time.

25 As we see disconnects between what the veterans

1 are now being recognized -- will be recognized for
2 and what the family members are, then we'll be
3 working with ATSDR, together we'll be working with
4 Congress, whose duty it will be then to amend the
5 law. 'Cause what we don't want is a list for the
6 family members that looks different from the list
7 for the veterans. We're all in agreement, right?
8 Okay. Does that answer your question?

9 Okay, and as it relates in particular to the
10 childhood issues and the birth defects and all that,
11 that is very much in the purview of the rewriting of
12 the law, okay.

13 **MR. ENSMINGER:** That's in the works already.
14 And congenital heart defects are being added, so.

15 **DR. ERICKSON:** Yeah, thanks, Jerry.

16 **MR. FLOHR:** Okay, this is Brad again. Just
17 want to also mention that when the final rule does
18 become -- is published, there will be as many as
19 2,500 veterans who will be added to the compensation
20 rolls, who will begin receiving benefits.

21 **MS. FRESHWATER:** Any update on liver cancer?

22 **MR. FLOHR:** Liver cancer's on the list.

23 **MS. FRESHWATER:** Yeah, oh, it is? Okay.

24 **MR. FLOHR:** On the list of presumptions.

25 **MR. HODORE:** Yes, this is Bernard Hodore.

1 **MR. FLOHR:** Frank, what was your question?

2 **MR. HODORE:** Okay. Go ahead.

3 **DR. BOVE:** Okay, what I asked Brad was whether
4 liver cancer is on the list under the Janey
5 Ensminger Act for healthcare benefits, and it's not,
6 but it's on the presumptive list. So there is a
7 difference in those two lists that we'll try to
8 resolve, I guess.

9 **DR. ERICKSON:** Right. This is Erickson again.
10 Let me also just emphasize because you asked the
11 question. What's on the presumptive list that's not
12 in the 2012 law is liver cancer, Parkinson's
13 disease, and those, those are the two, I guess.
14 It's liver cancer and Parkinson's disease.

15 **DR. BOVE:** Yeah, but the VA report -- that
16 report by IOM talked about Parkinson's.

17 **DR. ERICKSON:** Yeah.

18 **DR. BOVE:** They expand the neural behavioral --

19 **DR. ERICKSON:** Right, right. So it's -- trust
20 me, there are now these multiple lists that need to
21 be harmonized so as to not leave anybody out.
22 You're exactly right.

23 **MR. HODORE:** Yes, this is Bernard Hodore. Now,
24 when you say Parkinson's disease, do you also
25 include that as a neural behavioral effect?

1 **DR. ERICKSON:** This is Erickson. Bernard, you
2 probably get credit for the toughest question of the
3 day. And the -- Brad knows why I'm saying this --
4 the law in 2012 was written in a way that was a
5 little awkward to interpret. The IOM, in a
6 subsequent review of our clinical guidelines,
7 recommended that we interpret the words in the law,
8 neural behavioral effect, to include Parkinson's
9 disease. VA, within the purview of what we can do,
10 we stepped out and we recommended to the Secretary.
11 Now he's made the proposal that Parkinson's disease,
12 as a known disease entity, a defined disease, be
13 covered in the presumptions. But the finer point is
14 the rewriting of the clinical guidelines right now
15 as to how VA interprets this. And so like because
16 it's not been finally signed, I told you it's with
17 the lawyers, I can't answer the very last part of
18 your question. But you've identified something that
19 is very important.

20 **MR. TEMPLETON:** This is Tim Templeton. I have
21 a few questions, and so I'll try to make it as
22 quickly as possible, to observe everyone else's time
23 to here too. When you said that IOM was in the
24 hands of the lawyers, you're talking about OGC,
25 right, office of general counsel? Okay. I just

1 wanted to make sure I'm clear about that.

2 I had sent an email for everyone else's benefit
3 here. There was some notice of someone who
4 unfortunately happened to be a VA employee, it
5 appeared had made some statements on social media
6 concerning Camp Lejeune. He was talking about how
7 this course reflects (indiscernible) but not others.
8 It seemed to be contradictory. The information that
9 they were putting out was contradictory, and they
10 got into a bit of a, let's say personal attack on
11 some of the people on social media.

12 I know I forwarded it to Dr. Erickson too, and
13 so I wanted to at least let you guys know that there
14 are some instances of some VA employees that are on
15 social media, and in some cases spreading
16 misinformation. One case they were talking about
17 how much worse -- and I don't want to get into, you
18 know, whether one part of the base (indiscernible)
19 another. There's metrics on that that you could
20 probably go into. But the information that they
21 were spreading out was wrong. And then they also
22 started attacking some of the other members too,
23 like a couple of people here on the CAP, when they
24 took notice of this and went to try to correct them.

25 So I'm not necessarily interested in, you know,

1 something horrible happening to this person but I
2 just don't want it to see it become a trend. I want
3 to make sure that VA does have at least the mindset
4 that they're trying to help rather than spread
5 misinformation.

6 One piece of misinformation that we've seen,
7 not just on social media but from a lot of people
8 who come into the VA hospitals and so forth is they
9 will talk about the dry cleaners, about the issue
10 with the contamination with the dry cleaners. And
11 then they'll -- they will pretend that no other
12 contamination existed on that base, and it didn't
13 exist in other places. And we've seen this
14 throughout the -- I say throughout, meaning I've
15 noticed at least a couple handfuls [sic] of
16 incidents where they were saying, oh, yeah, it was
17 the dry cleaners. That was the dry cleaners. No,
18 actually that wasn't the largest piece of the
19 contamination; that was something that was there.

20 And the reason why they were doing it, and they
21 even kind of came clean with the reason why they
22 were doing that, was because the cleaners is not a
23 government entity, and so it made it easy to be able
24 to blame it on something else, you know, someone
25 else or something else.

1 And I'd like to make absolutely sure, if I can
2 here, to stress that we want to clear that kind of
3 misinformation up. That misinformation has been out
4 there for a long time. It came from the early days,
5 and Ms. Forrest no offense, it actually came kind of
6 from your court, there to try to, I'm not sure
7 what -- whether there was intentional
8 misinformation; I can't say that. But I would say
9 that, you know, they went quite a ways to try to put
10 blame where -- and not accept blame where blame was
11 concerned. So that was what I wanted to cover on
12 that. If you want me to stop for a second and make
13 some comments on that.

14 **MR. FLOHR:** Yeah, Tim. This is Brad. Thanks
15 for those comments. I just want to re-emphasize
16 that only our claims process in Louisville make
17 decisions on claims or benefits, and so they're not
18 involved in this. They don't -- you know, it's not
19 something that comes into their thinking.

20 **MS. FRESHWATER:** And I'd like to follow up, and
21 I'm not quite as sometimes as polite and nice as my
22 colleague. This person was a VA employee, and he
23 was lying, straight out lying. And I don't care if
24 he was involved in Louisville or not. He was on a
25 Camp Lejeune social media group, and I would like to

1 know if he has faced any repercussions for
2 misleading, lying, whatever words you want to use
3 about it, and also him personally attacking other
4 people involved. And, and he represented himself as
5 a VA employee.

6 **DR. ERICKSON:** Having been also the object of
7 that type of thing on social media, I can appreciate
8 how that's problematic, and, you know,
9 inappropriate. We work really hard to try and
10 educate the 300,000-plus employees across VA, and
11 are doing that, you know, there are actions right
12 now to that end. But as with those of you that
13 served in the military, along with me, there's a
14 very significant role for on-the-spot corrections.
15 You know, this is something that MCOs do, officers
16 do, you know. You put the word out, you educate,
17 and then you do on-the-spot corrections. And this
18 seems to be clearly one of those cases. I don't
19 have an answer for you as to what action's been
20 taken, and I may not be privy to that.

21 **MS. FRESHWATER:** I don't want to hear like all
22 of that bureaucratic-speak. I want know if him --
23 if he was set straight.

24 **DR. ERICKSON:** I, I don't know.

25 **MS. FRESHWATER:** I don't care about the lineage

1 and the chain of command. I want to know if you
2 guys took it upon yourselves to go to this person
3 and say, you're representing yourself as a VA
4 representative, and you're saying that all of the
5 contamination was on the civilian side, and that if
6 you lived in certain parts of the base you weren't
7 exposed to contamination. That is really damaging
8 information to people who may need to be looking out
9 for health effects from this water. And I want to
10 know that if you see something like that -- you said
11 you knew who he was -- you, you admitted he worked
12 for the VA in the email.

13 **DR. ERICKSON:** Who, who admitted this?

14 **MS. FRESHWATER:** You want me to name the name
15 of this person?

16 **DR. ERICKSON:** No, I don't want you to name the
17 name. I'm saying are you pointing at Brad and
18 myself, saying that we, we admitted this?

19 **MS. FRESHWATER:** I'm saying -- there was an
20 email exchange that I was involved with, with Brad,
21 and Brad admitted that he knew who this person was
22 at the VA. Do you want me to show the email; I'd be
23 happy to put it up on the PowerPoint. So why
24 wouldn't someone go to him and say don't do that
25 anymore?

1 **MR. FLOHR:** I'll have to go back and --

2 **MS. FRESHWATER:** I mean, honestly --

3 **MR. FLOHR:** -- look at my email, Lori.

4 **MS. FRESHWATER:** -- this is ridiculous.

5 **MR. FLOHR:** 'Cause I don't -- I don't recollect
6 that.

7 **MS. FRESHWATER:** I don't want to hear you don't
8 remember again today.

9 **MR. FLOHR:** I don't remember.

10 **MS. FRESHWATER:** Like seriously.

11 **MR. FLOHR:** Do not remember.

12 **MS. FRESHWATER:** Well, then you honestly, you
13 need to start taking better notes or you need to
14 take -- go to a memory class, Brad. No disrespect
15 intended, but to have someone out representing
16 themselves, and you were made aware of it, and then
17 for you to not even send an email to this person or
18 their supervisor, and say he is saying things that
19 are very damaging to the efforts of the Camp Lejeune
20 community to save lives, is, is -- I find it very
21 difficult to stomach.

22 **MR. FLOHR:** I apologize for that but I don't
23 remember the individual, his name or the
24 circumstances.

25 **MS. FRESHWATER:** Ray Nolan.

1 **MR. FLOHR:** But I will look for them when I get
2 back.

3 **MR. TEMPLETON:** Great, thank you. I appreciate
4 that. Thank you, Lori; I appreciate that.

5 The second piece mainly has to do with the Camp
6 Lejeune family member program, and I realize that
7 Brady's not here, but I want to kind of discuss it,
8 and I know that Dr. Erickson and I had discussed it
9 a little bit.

10 **MR. FLOHR:** We do have someone here in Brady's
11 place.

12 **MR. WHITE:** Okay, this is Brady. I'm actually
13 on the phone, if you guys can hear me.

14 **MR. TEMPLETON:** Brady, hey, how you doing?

15 **MS. FRESHWATER:** Hi, Brady.

16 **MR. WHITE:** Hello.

17 **MR. TEMPLETON:** Hey, I've got a question for
18 you. One of the things that I've come across here
19 is an item called a TPR, and in the TPR apparently
20 there's a need for those for the folks that are in
21 the Camp Lejeune family member program, and that
22 need for a TPR, and I'm not sure even whether a TPR
23 is described as being needed.

24 **MR. ENSMINGER:** What's a TPR?

25 **MR. WHITE:** The TPR is the treating physician

1 report.

2 **MR. TEMPLETON:** This kind of goes towards -- is
3 that a part of the orientation, the TPR being
4 necessary? Is that part of the CLFM orientation
5 program? Or is that to get into the program?

6 **MR. WHITE:** That's a kind of a method that we
7 have to help us determine if the family member has
8 one of the 15 covered conditions or not. So we ask
9 the family members to have their treating physician
10 to fill out this report, and basically, I don't have
11 one up there in front of me, but it asks them to
12 identify if they have, you know, the specific
13 conditions. And for instance if it's cancer, if
14 it's in an active phase or remission. And then we
15 also ask them to provide kind of backup medical
16 documentation with that.

17 **MR. TEMPLETON:** Got it. Okay, so that leads me
18 to the next piece. First off, I wanted to make sure
19 that the need for a TPR is stressed within the
20 orientation for folks that are entering the CLFM
21 program. 'Cause I talked to some folks that have
22 worked with some of them, and apparently they
23 weren't aware and didn't, didn't hear anything about
24 the need for a TPR within the orientation and to get
25 when they were entering the program.

1 This leads me to the other piece of it, that
2 actually dovetails here, is that the active versus
3 remission status. And I know I'd sent an email out
4 asking a little bit more information on how you
5 become in remission status, at least as far as the
6 VA is concerned, and how you're defined in active
7 status. And Dr. Erickson was, you know, kind enough
8 to take a little bit of a sidebar with me and
9 discuss it a little bit. But for the benefit of
10 everybody else, and especially all the people who
11 are applying for this program, including veterans
12 that aren't in this program, I think, if we could
13 have a little bit better understanding of how
14 someone gets put into remission status from active
15 status.

16 I've heard a couple of stories here that say
17 that some of the people were moved from active into
18 remission status without their knowledge, and they
19 were still in fact in active status, and had to
20 fight extremely hard to get back into active status.
21 So I don't know if you can speak to that at all or
22 could go back and get information and bring it back
23 to us at the next CAP meeting.

24 **MR. WHITE:** Yeah, so I'm sorry, but my --
25 somehow my phone lost reception in the middle of

1 what you were saying, but I caught the tail end of
2 it. So briefly if I could just explain what we need
3 and why we need it. So when a family member applies
4 to the program we go through a whole process of, you
5 know, determining three things to really make them
6 eligible for the program. We determine what we call
7 administrative eligibility, and that's basically,
8 you know, was the family member a dependent of the
9 veteran? Was the veteran stationed at Camp Lejeune?
10 And then was the family member also there for the
11 covered time frame?

12 So once somebody becomes administratively
13 approved, then we send them out a card, an ID card,
14 and along with that it's got some information, some
15 fact sheets, about what we need, how we need it, how
16 to submit claims, kind of due dates for that. And
17 we recently had some suggestions on how we can
18 better inform them of the kind of the 60-day time
19 frame to submit their past bills to us. So thanks
20 for your input on that.

21 But when it comes to, you know, determining if
22 they have one of the 15 conditions, obviously we
23 need some kind of medical documentation. So what is
24 that? Early on we were hoping we could use this
25 form, this TPR, as a tool to help us, you know,

1 quickly process their clinical eligibility, right?
2 And again, on there it has -- it lists out, you
3 know, pretty clearly what we want the physician to
4 do, and then again, we need -- we request additional
5 medical documentation along with that form.

6 And I think we can always revisit this, and
7 again, this is still a fairly new program so we're
8 always looking for ways to improve what we do. But
9 I'm pretty sure that the fact sheet or a letter that
10 goes out to the family member is fairly clear about
11 what we need. Now, I'm -- certainly again, I'll
12 revisit that, and, you know, I welcome your input as
13 well, you know, if we need to revamp it or not. We
14 can certainly look into that.

15 **MR. TEMPLETON:** Okay, thanks, Brady.

16 **MR. WHITE:** So again, without hearing your
17 whole question, did that answer it?

18 **MR. TEMPLETON:** For the most part. The one
19 thing that I would like to ask, if I might, is if
20 you could go back and check to see active versus
21 remission status for some of the folks that are in
22 this program, just to make sure that some of the
23 folks aren't, by some crazy process or whatever,
24 getting kicked out of active status and into
25 remission status.

1 **MR. WHITE:** Right, and thank you for bringing
2 that up. That's actually a great question. Early
3 on, what we decided with Dr. Erickson, I'm not sure
4 if you were even part of our group then, but
5 Dr. Walters and her team looked at this whole issue.
6 And for cancers what we decided was during what
7 we're calling an active phase of treatment for that
8 cancer, meaning, you know, they're undergoing
9 chemotherapy or radiation or something like that.
10 What we're going to do for the family member is
11 basically cover what we call whole body coverage,
12 meaning unless it's on the list of either treatments
13 or medications that we absolutely do not cover or
14 are prohibited from doing so, we're going to
15 basically cover anything -- any medical treatment
16 that that family member received for whatever. And
17 there is some clinical rationale for doing that that
18 Dr. Erickson might be able to go into a little bit
19 more detail on.

20 But so when it comes to active phase, the
21 important thing from a business prospective is for
22 that certain period of time we're going to cover
23 every medical treatment that comes up, again, unless
24 it's forbidden. But then after that, after that
25 active treatment, you know, we all know, you know,

1 most cancers -- again, I'm not a clinician or I
2 can't speak to this directly, but, you know, after a
3 certain period of time the treatment, the aggressive
4 treatment is finished, and there's maybe a
5 maintenance phase, kind of period of time.

6 So during that maintenance phase, we don't
7 want -- we can't cover whole-body coverage. So
8 therefore we put some dates on there, and we got
9 feedback from the clinicians on when to do that, you
10 know, how long can active phase of cancer happen.
11 One thing we have done is -- and again, this is
12 requested on the treating physician report, for the
13 most part, and it's given to us by the physician.
14 But after that active phase of cancer and that date,
15 if we continue to receive medical bills that
16 indicate, that clearly indicate, that somebody's
17 still ongoing -- you know, receiving ongoing
18 treatment, active treatment for cancer or there's
19 chemo, radiation or what have you, we'll extend that
20 time automatically, you know, for another six
21 months, okay? So it's after that period of time,
22 that six-month period ends, that we go back to kind
23 of maintenance coverage. So that's kind of a
24 long-winded answer to your question, but did that
25 help?

1 **MR. TEMPLETON:** Yes. So after six months it
2 automatically drops them off if they haven't gotten
3 any TPRs that say that there's any treatment
4 underway?

5 **MR. WHITE:** Yeah. If they don't submit anymore
6 medical bills or anything, to us it indicates that
7 they're not still undergoing active treatment, and
8 at that time they're -- you know, it's no longer
9 considered whole-body coverage, unless, again, we
10 receive a medical bill, and then we'll start that
11 back up. So and we'll extend it out another six
12 months. Again, we're trying not to put the burden
13 on the family member just to provide us with another
14 form or more documentation. We've taken it on
15 ourselves to extend that time, and again, extend
16 whole-body coverage for an additional six months.

17 And I think the feeling from Dr. Walters at the
18 time was that's generally going to cover most, most
19 doctor treatment periods of time. So again, we can
20 revisit that, and Dr. Erickson, you're welcome to
21 weigh in on the clinical aspects of that, if you
22 want.

23 **MR. TEMPLETON:** I would like to ask if -- that
24 it would be revisited 'cause it seems to me that the
25 burden actually is on the patient in that case

1 rather than vice versa.

2 Is there someone, just a quick question, then
3 I'll -- unless there's any other follow-ups, I'll
4 let it go, here. But as far as when you -- let's
5 see. When you have the medical records come in and
6 do the automatic extension that you were talking
7 about, so does someone actually take a look at those
8 and then make that determination or is it a bill
9 comes through, and the system says, oh, a bill comes
10 through, this guy is active, and so we will just --
11 does the system automatically does it? I'm assuming
12 that there's probably some manual intervention
13 there.

14 **MR. WHITE:** Yeah. Right now we have, we have
15 somebody actually looking at that. I mean, ideally,
16 if we were smart enough, we could create our system
17 to automatically make that happen but that's not the
18 case yet.

19 **MR. UNTERBERG:** Brady, this is Craig Unterberg.
20 When you said maintenance coverage, so people are
21 getting ongoing scans to make sure they're still in
22 remission, will that be covered, the cost of CAT
23 scans and MRI?

24 **MR. WHITE:** Yeah, absolutely. Yep.

25 **DR. ERICKSON:** Okay, Brady, this is Erickson.

1 So the window's very wide open when we talk about
2 whole-body during the active phase. The window
3 doesn't shut at the end of six months, if we think
4 someone's in remission; it just narrows down to
5 things that are more generally directly related to
6 the cancers. And so such as things as ongoing
7 screening studies, you know, is clearly covered.

8 It sounds like, you know, through this very
9 fruitful and profitable discussion, that we need to
10 look at these business practices, to see what is the
11 best way to interact with the family member who's
12 had the cancer, so as to have the best information.

13 **MR. ENSMINGER:** And this is Jerry Ensminger.
14 What about collateral effects from the treatment
15 that, you know, go along with, you know, the radical
16 treatments that a lot of these cancers require, and
17 people acquire other effects from that treatment or
18 from the cancer itself? Are those covered?

19 **DR. ERICKSON:** This is Erickson again. The
20 short answer is yes. Those who are -- there's a
21 small group -- there's a very small group of medical
22 adjudicators who are very favorably disposed to very
23 graciously look at those second- and third-order
24 effects, because it is understood that once -- you
25 know, once you've had radiation, once you've had

1 chemotherapy, once you've had major surgery of this
2 regard, there -- you know, your life's different.
3 Okay, body systems may function differently. There
4 are second- and third-order things that could be
5 going on.

6 **MR. TEMPLETON:** And Brady, one last question
7 but this is the big one. I know this year we're
8 going to have a report prepared for us on the claims
9 updates so I know you're over the phone here but I'm
10 kind of curious -- well, hey, it just happens to be
11 on the PowerPoint; they pointed out to me. Sorry,
12 thank you.

13 **MR. WHITE:** Okay, not a problem.

14 **MR. HODORE:** Hello, I have one question, just
15 one question. My name is Bernard Hodore. What
16 about those veterans who are -- like I got one
17 veteran who has prostate cancer. He's 66 now. And
18 they said he was in remission, and he's had this
19 prostate cancer for over ten years, and he's 66
20 years of age. Is there any limit for age process on
21 this prostate cancer? Are we examining the prostate
22 cancer?

23 **DR. ERICKSON:** Brady, I don't know of any limit
24 of age. Do you?

25 **MR. WHITE:** Yeah, is this a veteran issue or a

1 family member issue?

2 **MR. HODORE:** This is a veteran issue.

3 **MR. WHITE:** Yeah, I'm not aware of any kind of
4 limitation for age.

5 **MR. HODORE:** Well, they said they're going to
6 reduce his hundred percent to 20 percent, and he's
7 been suffering from prostate cancer for over ten
8 years now.

9 **MR. WHITE:** Yeah, that's probably more --
10 that's probably more of a VBA question than about
11 disability.

12 **MR. FLOHR:** Yeah, this is Brad. We do
13 occasionally request a review examination for
14 someone when we initially see them; for example,
15 someone that has sprained their knee with service
16 connection is under treatment. We think that it may
17 improve in the future, and we assign an initial
18 evaluation and then we schedule a review exam in
19 about five years to look at it.

20 So this very well could be prostate cancer,
21 been treated, had it for ten years, but we would
22 look at it and see what the current status of it is,
23 and then reduce it. It has nothing to do with
24 treatment for the cancers in terms of that, if it's
25 service-connected. But for benefits-wise we'll look

1 at it to see how disabled is the man now from his
2 prostate cancer after ten years.

3 **MR. HODORE:** Well, the thing of it is is that
4 he's been suffering from prostate cancer for the
5 last ten years.

6 **MR. FLOHR:** Right.

7 **MR. HODORE:** And they're going to reduce his
8 hundred percent to 20 percent, but yet still he's
9 having psychological aspects from getting his
10 hundred percent decreased because they're going to
11 put an extreme hardship on him. So I was wondering
12 -- he's still suffering from his prostate cancer,
13 it's going indirectly and reduce his benefits from a
14 hundred percent to 20 percent.

15 **MR. ENSMINGER:** It's still active.

16 **MR. HODORE:** But the VA says that it's in
17 remission.

18 **MR. FLOHR:** Well, we rely on what their doctors
19 tell us. If they say it's in remission, then...

20 **MR. ENSMINGER:** He needs to go -- who is this,
21 Bernie? I don't need his name. Is he here local?

22 **MR. HODORE:** No, he's not. He's here local.
23 He's a claim that came across my desk.

24 **MR. ENSMINGER:** Well, your biggest beef right
25 now is with his doctors. I mean, you've got to get

1 that straightened out first. I mean, if his
2 doctor's saying he's in remission, and he's not,
3 that's where you need to start this.

4 **MR. HODORE:** Okay.

5 **MR. ORRIS:** Hey, Brady, first off, I want to
6 say thank you. I know the difficulty you had trying
7 to make it to the meeting, and I appreciate you
8 calling in. This is Chris Orris, by the way. I
9 know you're going to be going over the -- your
10 claims and denials. I wanted to wrap back one more
11 time to your treating physician report, and thank
12 you for giving that update, and I know we've talked
13 about this several times in the past. I still want
14 to know why there is a question from the physician
15 to list any current morbidities, risk factors or
16 other exposures on that form. I thought we were
17 moving past those since, if they have the condition,
18 they should be eligible for the benefits.

19 **MR. WHITE:** Yeah, that's -- probably
20 Dr. Erickson can expand on this in a little more
21 detail, but basically again, for the cancers, we
22 really don't need that. It's for more of the other
23 conditions, like the neural behavioral effects,
24 renal toxicity, hepatic steatosis, that the
25 physicians look at the evidence, 'cause there's

1 some -- and I don't know how much to speak to this,
2 Dr. Erickson, but there's some guidelines in the
3 clinical guidance about looking at that information.
4 So therefore we would look --

5 **DR. ERICKSON:** Sure. Yeah, this is Erickson.
6 Go ahead, Brady. I'll follow you.

7 **MR. WHITE:** I was just going to kind of add on,
8 but for the cancers, you know, we don't ask for that
9 since, you know, we don't request smoking history
10 for anybody with lung cancer.

11 **DR. ERICKSON:** I know there was one point in
12 which there was an older form that we were using
13 that already had on it comorbidities. Probably
14 what's important for folks to know is the treating
15 physician report is something that helps us because
16 the treating physician, who knows that patient the
17 best, is basically providing us a very short summary
18 of what's going on with that patient right now. And
19 even if there are, you know, three inches of medical
20 records submitted, that summary carries a huge
21 amount of weight, then, when the medical assessment
22 is made by the VA physician. And so it really -- it
23 speeds things up, to be quite frank.

24 **MR. ORRIS:** Okay, but I'm looking at the form
25 right now, and you're specifically asking for a

1 narrative from the treating physician to go over any
2 comorbidities, risk factors or other exposures that
3 may have also contributed to this illness.

4 **DR. ERICKSON:** Right.

5 **MR. ORRIS:** And that information just does not
6 seem to have any benefit to you in a claims process
7 for something that should be awarded if they're
8 sick. It doesn't matter where they got it from.

9 **DR. ERICKSON:** No, Chris, and you're exactly
10 right. And the end result you'd be satisfied with,
11 in that those -- the answer to those questions do
12 not directly impact the conclusion, okay, the
13 medical assessment comes to.

14 If you've ever had to work in the federal
15 government, there's this thing about approved forms,
16 and I think we discussed this at one of the previous
17 CAP meetings. To get a new form, a totally new form
18 approved by OMB and everybody else, I mean, you
19 almost have to promise your first born, and it takes
20 a couple years. And as a pragmatic measure, an
21 existing form, and I sort of alluded to this, an
22 existing form was used because it looked close
23 enough that it could help us bypass the two years'
24 wait to get the form approved, and start the process
25 of actually taking care of people. Okay?

1 **MR. ORRIS:** Thank you.

2 **MR. PARTAIN:** Brad -- Dr. Erickson, sorry, my
3 brain is fried today here. Question on, you know,
4 earlier you were talking about with the announcement
5 concerning the presumptive service. There are other
6 illnesses that are out there, that in the future
7 we're going to, you know, take a look at, hopefully
8 with the cancer incidence study and stuff. What I'm
9 asking is what type of work do you need to do with
10 the ATSDR to get these other cancers looked at, like
11 for example male breast cancer, which, you know, you
12 got male breast cancer, thyroid cancer, prostate
13 cancer and some of these rarer cancers like male
14 breast cancer and thyroid cancer where there's
15 really never enough to do a formal study, but yet
16 like with male breast cancer, it's appeared at other
17 TCE-PCE sites such as Valcartier Air Force Base in
18 Canada, the IBM Endicott site in New York, I believe
19 the View-Master site in Washington have all had male
20 breast cancer appear after exposure to PCE and TCE,
21 but there are never enough to study -- do a formal
22 study.

23 So how do you address that where there's not
24 really either not enough scientific studies done or
25 it's a rare cancer but it's showing up at Lejeune in

1 numbers; how is the VA going to address that with
2 ATSDR? Then I have a second question after that.

3 **DR. ERICKSON:** You know, we're going to
4 continue this relationship with ATSDR through any
5 number of studies that are currently -- you know,
6 currently planned, ongoing. You know, for those of
7 you that heard Frank say it, he followed through.
8 He gave me a copy of the study protocol for the
9 incidence study. Thanks again, Frank. I haven't
10 had a chance to look at this, Mike, so I don't know
11 that, for instance, the studies that you just
12 mentioned will be adequately covered by this. I
13 need to look at this, to be able to answer that
14 knowledgeably.

15 I'll tell you that there are any, you know, any
16 number of ways that we can get new information, and
17 it's probably beyond my brain capability to be able
18 to enumerate all those ways, but I'll tell you that,
19 of all the federal agencies that are sort of on the
20 case, ATSDR has mounted some truly heroic efforts
21 here. And my sense is that, given the heightened
22 awareness in our nation of environmental issues --
23 is that fair, Pat, to say it that way --

24 **DR. BREYSSE:** Yeah.

25 **DR. ERICKSON:** -- the heightened awareness of

1 environmental issues, I suspect we're going to be
2 seeing a proliferation of studies, some of which may
3 be very much related to Camp Lejeune issues in the
4 near future.

5 **MR. PARTAIN:** Well, going back, you know, when
6 I mentioned the male breast cancer, they're not
7 studies; they were -- other studies that were done
8 that noted that there was male breast cancer
9 present, but the caveat's always there's never
10 enough cases to study. And talking to Frank and
11 Dr. Clapp and Dr. Cantor, one of the issues is that
12 there's just not enough scientific evidence to say
13 either way. And when Frank wrote his report to you
14 all, breast cancer was at the low end. But yet we
15 have, you know, 124 or so men from Camp Lejeune with
16 breast cancer, which is extremely unusual.

17 And you know, and not just harping on male
18 breast cancer but thyroid cancer. We have a lot of
19 cases of thyroid cancer that there's been, you know,
20 there's no rhyme or reason but we have an
21 extraordinary number of thyroid cancers. So, you
22 know, but again they're too small to study.

23 And my question is, you know, these people who
24 were affected by this, are we going to wait five,
25 ten years down the road for other things? You know,

1 what is the VA going to do to be more proactive now
2 that we're starting to get to a point where there is
3 a presumptive and there are other cancers, such as
4 those two I mentioned, that need to be looked at in
5 a way, other than just pushed aside?

6 **DR. BREYSSE:** Do you mind if I jump in? Do you
7 have something in mind?

8 **MR. PARTAIN:** As far as what?

9 **DR. BREYSSE:** About what you think we could do,
10 either ourselves would be to be more proactive?

11 **MR. PARTAIN:** ATSDR did a male breast cancer
12 study, which, you know, we've discussed this before
13 and everything.

14 **DR. BREYSSE:** Yeah.

15 **MR. PARTAIN:** But, you know, it's, you know,
16 what can we do to get these cancers addressed? I
17 mean, like I said, we've got thyroid cluster, a
18 thyroid cancer cluster. We have a lot of people
19 that reported prostate cancer, and unusual numbers
20 with those. So what are we doing with these outlier
21 conditions that there are really not enough numbers
22 to generate a formal study? How do you address that
23 so that these veterans --

24 **DR. BREYSSE:** Yeah, so they're part of the
25 cancer incidence study, they'll be captured by that.

1 And we're constantly with them, I'm sure that the VA
2 is, they're doing the literature, and if something
3 comes up we think is germane published somewhere
4 else that's relevant to the conditions of exposures
5 at Camp Lejeune, we'll highlight it, and we'll
6 discuss it with the VA.

7 **MR. PARTAIN:** Okay.

8 **DR. BREYSSE:** So we'll surveil the literature,
9 and then hopefully we'll have a clearer picture of
10 some of these other cancers that are smaller in
11 numbers but -- smaller perhaps because people don't
12 die as much from small issues of mortality study.

13 **MR. PARTAIN:** It's the rare cancers, like
14 aplastic anemia is a rare cancer. We have, I know,
15 from talking to Andrea Byron, who had aplastic
16 anemia, I think she said at one time there was like
17 five or six that she was tracking, which it
18 correlates to the high number of men with male
19 breast cancer. So, you know, the fact that it's a
20 rare cancer, it's not conducive to scientific study.
21 How do you address that --

22 **DR. BREYSSE:** So that's not a Camp Lejeune
23 problem; that's an environmental health problem.

24 **MR. PARTAIN:** Do we just forget about those
25 people?

1 **DR. BREYSSE:** No, no, we keep doing our best,
2 and we look for opportunities to do studies where
3 there might be enough cases, if we collect enough
4 cases that we can combine -- if studies get
5 published with small numbers we can do meta-analyses
6 when enough of them accumulate. I'm not saying it's
7 hopeless, but I think you're laying out the
8 challenges to try and sort out --

9 **MR. PARTAIN:** Well, it needs to be addressed.

10 **DR. BREYSSE:** -- environmental factors on rare
11 cancers.

12 **MR. PARTAIN:** And I did have a question from
13 outside, when we were talking earlier this morning
14 and stuff. They wanted to know why the VA and the
15 ATSDR didn't bring up the genetic study that was to
16 the million veterans program, to help record some of
17 this information, you know, like a lost opportunity.
18 Did anyone -- they wanted to know if anyone looked
19 at it or thought about it.

20 **DR. ERICKSON:** Yeah, this is Erickson. So the
21 million veterans study, which will be ongoing for
22 decades, it's still in its earliest stages. So
23 we're -- you know, the VA's at the head -- the front
24 end of this. We're very much at the front end of,
25 you know, collecting specimens, surveys. You know,

1 some of you in this room may have, even in the last
2 couple weeks gotten another mailing, asking you to
3 participate. But we're -- you know, we're probably
4 a number of years away from some publications on
5 that.

6 **MR. ENSMINGER:** I asked this morning about the
7 subject matter expert program and what part of the
8 VA that falls under, which is VHA; you confirmed
9 that. I believe that Dr. Clancy is the deputy
10 undersecretary for health?

11 **DR. ERICKSON:** This is Erickson. She is one of
12 four individuals who are named as a deputy
13 undersecretary, and she's the deputy undersecretary
14 for excellence --

15 **MR. FLOHR:** Organizational excellence.

16 **DR. ERICKSON:** -- organizational excellence.
17 Thank you, Brad.

18 **MR. ENSMINGER:** And she's supposed to provide
19 oversight of VHA's performance, quality, safety,
20 risk management, systems engineering, auditing,
21 oversight, ethics and accreditation programs.

22 **DR. ERICKSON:** This sounds right. Yeah, and --

23 **MR. ENSMINGER:** I'm reading this right off of
24 her job description.

25 **DR. ERICKSON:** Yeah, that sounds right.

1 **MR. ENSMINGER:** I would like to know if she has
2 conducted her oversight duties on the subject matter
3 expert program, because there is certainly a
4 breakdown in the quality of that program, because we
5 have seen it. They have cited Wikipedia. We've had
6 veterans with kidney cancer, and the opinion written
7 by the so-called subject matter expert stated that
8 they had reviewed the meta-analysis of two decades'
9 worth of well-conducted scientific studies, they
10 could find no evidence that TCE causes cancer of any
11 kind.

12 That opinion was written in January of last
13 year, when we all know that the EPA, on 28
14 September 2011 reclassified TCE as a known human
15 carcinogen. IARC reclassified -- followed suit and
16 reclassified TCE as a known human carcinogen in
17 2012. And our own national toxicological program,
18 which we have a board member sitting here,
19 reclassified TCE as a known human carcinogen based
20 upon the scientific evidence for causing renal cell
21 carcinoma, a.k.a. kidney cancer.

22 I got two claims, not just that one, that had
23 that language verbatim. I want Dr. Clancy to tell
24 me what oversight she has provided over this SME
25 program, because it's invalid. And I don't have a

1 problem with you guys having a subject matter expert
2 program, but you got to have the qualified people to
3 do it. And if you don't have them on staff, then
4 you need to contract them.

5 **DR. BREYSSE:** I think that's an official
6 request, and I think we can ask Carolyn to provide
7 her thoughts on oversight at the next meeting.

8 **MR. ENSMINGER:** And does she have a report?
9 Does she fill a report out on these -- this
10 oversight that she conducts on these things? And
11 the ethical side of this thing is that you've got
12 these subject matter experts, that don't even know
13 that PCE causes renal cell carcinoma, challenging
14 veterans' own oncologists and other medical
15 specialists. Where is the ethics in that?

16 **MS. FRESHWATER:** If I can just follow up,
17 because I had looked into the ethics of one of these
18 SMEs in particular who has a side business which
19 represents industry, many chemical companies and so
20 forth, and I have now found out that there's a --
21 that the connection that she has with a law firm is
22 the same law firm that wrote the emergency manager
23 law in Flint. So and this is information that we
24 are apparently not allowed to have, so we are just
25 left to search the internet and try and find out

1 what interest the SMEs are representing. So I would
2 like a follow-up on that as well.

3 **DR. BREYSSE:** So presumably that would be part
4 of the oversight activity.

5 **DR. ERICKSON:** Yeah, this is Erickson. I know
6 we've covered some of these topics before. And I
7 know that I'd heard about the Wikipedia twice
8 earlier in this session, that in previous CAP
9 sessions, and I will tell you that there have been a
10 lot of steps taken in the meantime to tighten a
11 number of things up, for instance, the formation of
12 a peer review process for the SMEs who work for
13 disability medical assessment.

14 I can tell you that the bibliography that you
15 had asked for, I have now provided to Dr. Breysse
16 and to Sheila, and so that's ready to be sent to
17 members of the CAP. I didn't have that earlier
18 today. Somewhere our communication went down in
19 terms of being able to see the action log, or our ^
20 list. I know it wasn't in my email box, but that
21 thing, what it is, this morning I've been working to
22 try and dig out some of these answers for you. So
23 the bibliography is coming your way.

24 We've talked about the importance of having a
25 senior representative from DMA come to the next

1 meeting, and I know that's written on the board.
2 Sheila put that up there.

3 But to in addition ask Dr. Clancy for her role,
4 what she's done in terms of oversight, certainly
5 very welcome, and I'm sure she'd be able to do that.
6 I think it's a great idea to be able to bring that
7 to the public. And then there's a whole list of
8 things here.

9 As relates to ethical lapses, I'd certainly
10 heard that before in previous meetings. I will tell
11 you that that accusation was taken forward by name
12 for that individual, and I know that there were some
13 investigators at VA that looked into this, and felt
14 that, according to federal rules, there was not a
15 conflict, okay, for this individual. Now, the last
16 thing that you said, Lori, I hadn't heard before,
17 but if you want to give me the details of if you
18 think there's skullduggery related to Flint,
19 Michigan, please let me know the details of that.
20 We can put that into an investigation as well.

21 I think it's important that whatever we discuss
22 here is factually based. I think that you in
23 particular noted just how inappropriate it is for
24 bad information to hit social media or to be brought
25 out, and if it's not substantiated, you know, we

1 probably need to be really careful because there's a
2 lot of reputations that are at stake here, and I
3 would welcome to hear more from you, but to do that
4 offline so that we can get some details.

5 **MS. FRESHWATER:** I've actually already
6 published on this, so I can give you the story, and
7 everything is substantiated and backed up with
8 integrity of my journalism, so absolutely. I don't
9 put anything on social media or make accusations
10 that I can't back up. Thank you.

11 **DR. BREYSSE:** Thank you, great. So we're about
12 at the end of the time for the VA updates.

13 **DR. ERICKSON:** Could we just quickly turn to
14 the slides, Sheila? And Brady, just so you know,
15 we're going to show the slide here for the update of
16 the claims. There's a graph, or a chart, for
17 veterans, there's a chart for family members, I
18 believe. Keep going. Keep going. Keep going.
19 Keep going. Keep going. Keep going. This is just
20 a lot of numbers. Great, stop there.

21 Okay, so Brady we're showing the slide number
22 6, Camp Lejeune veteran program. For everybody,
23 this is just a roll-up of the number of veterans who
24 were treated for each of these 15 conditions, and
25 these are data that are through the 17th of March.

1 And these slides, I think, are available to the CAP,
2 right, Sheila?

3 **MS. STEVENS:** Yeah.

4 **DR. ERICKSON:** Okay. And if you go to the --
5 there's a similar slide for the family members, I
6 believe.

7 **MR. ENSMINGER:** I got a question about that one
8 and the numbers. Under bladder cancer, the report
9 you released in December had 885 bladder cancer--
10 active bladder cancer claims.

11 **DR. ERICKSON:** Okay, was this -- was this
12 Brad's report from VBA claims or was this Brady's
13 from the 2012 law? Yeah, I think this is provision
14 of healthcare under the (indiscernible) legislation.

15 **MR. ENSMINGER:** Oh, okay. All right, all
16 right, all right.

17 **DR. BREYSSE:** All right, any questions on the
18 table?

19 **DR. ERICKSON:** Okay, Sheila, go forward to the
20 family member table. Okay, now Brady, we're looking
21 at slide 8.

22 **MR. WHITE:** Okay.

23 **MR. TEMPLETON:** Are we going to get a copy of
24 these, this presentation?

25 **MS. STEVENS:** Yes. It's on my list of things

1 to do.

2 **MS. FRESHWATER:** Sheila, can that include the
3 PHA presentation as well? PHA.

4 **MS. STEVENS:** (inaudible)

5 **MS. FRESHWATER:** Okay, thank you.

6 **DR. BREYSSE:** All right, any questions? I'm
7 trying to be sensitive to the clock 'cause I know
8 people have to take off.

9 **MR. WHITE:** Yeah, this is Brady. Can I kind of
10 jump in here, just real quick for a couple of
11 things?

12 **DR. BREYSSE:** Please do.

13 **MR. WHITE:** It won't take more than five
14 minutes. First of all, I'm sorry I couldn't be
15 there in person. I got caught in that blizzard we
16 had that ran through here in Denver, and had a fun
17 day at the airport all day, trying to get out, but
18 I'm sorry about that. Second thing is --

19 **MR. ENSMINGER:** (Unintelligible) commuter
20 airplane I saw landing in Oklahoma sideways.

21 **MR. WHITE:** No, no, it wasn't me. They had to
22 close their whole airport down, and only the second
23 time in their history they did that. But I was
24 looking forward to seeing everybody, mainly because
25 I wanted to share with you about the family member

1 program, but also I want to express my appreciation
2 in person for the VA and Dr. Erickson and Brad, and
3 also those of you on the CAP and, you know -- you
4 know I've been dealing with some cancer treatment
5 myself. And the good news is I've completed
6 everything, all the chemo and radiation last month,
7 and I've got a great prognosis.

8 **MS. FRESHWATER:** Well, we're happy to hear
9 that, Brady.

10 **MR. WHITE:** Yeah. Thank you. And again it
11 really meant a lot to me for your support, so I
12 appreciate it. The other thing is I'm not sure if
13 he's there yet or not, but ^Micah Gardner, he helps
14 our program through the health eligibility center on
15 the veterans' side. They're the ones that determine
16 veteran eligibility for the various programs. I'm
17 guessing and hoping he might actually be there,
18 somewhere in the back, to help any veterans that
19 might be in the audience that have specific
20 questions about their eligibility. Do you know if
21 he's there?

22 **DR. BREYSSE:** He's here.

23 **MR. WHITE:** Okay, excellent. Great. Thank
24 you, Micah, so much for showing up there. And any
25 veteran in the audience that has a question about

1 their eligibility, please see Micah during a break
2 or after this meeting. And really, that's about it.
3 Any family member questions for me?

4 **DR. BREYSSE:** Kevin, you wanted to ask a
5 question, Kevin? No, but with -- okay. So we have
6 one question for someone else, Brady.

7 **MR. WHITE:** Okay.

8 **MR. WILKINS:** Dr. Erickson, can we revisit that
9 purported VA employee in Biloxi, Mississippi that
10 was posting on social media?

11 **DR. ERICKSON:** When you say can we revisit
12 it --

13 **MR. WILKINS:** Y'all talked about -- y'all
14 talked about it earlier.

15 **DR. ERICKSON:** Right, I mean, I just pulled up
16 that email that Tim had sent. You know, I, for my
17 part, will follow up on it. I have nothing to tell
18 you other than what I shared already.

19 **MR. WILKINS:** Okay, all right, well, like I
20 say -- I just -- you know, since Brad has a memory
21 problem, I thought I'd just kind of put it on you.

22 **DR. ERICKSON:** So I've got quite a list of
23 things here, and that's one of them. Thank you.

24 **DR. BREYSSE:** Should we pitch in and buy Brad
25 some memory-enhancing therapy? Tim, go ahead. I

1 want to move on.

2 **MR. TEMPLETON:** One quick question, real quick.
3 Speaking about appeals in the SME, when people get
4 their denials -- I haven't seen anything other than
5 a mention of an SME, but they don't give the SME
6 opinion. Usually a veteran will have to go to their
7 My Healthy Vet or try to get the record through some
8 other means to try to find out what was said.

9 Now, usually when they're going to try to
10 appeal a decision they're going to need that
11 information upon appeal. That's going to be part of
12 the basis that they would have to at least place the
13 argument under. So is it at all possible for the
14 SME opinion to be part of the denial paperwork that
15 gets sent out to the veteran or family member?
16 Because it's not in there right now. There's
17 nothing that says what their opinion is and what
18 they used and, you know, how they came to their
19 conclusions and all that. They have to go to -- the
20 veteran or the family member has to go through
21 several extra hoops to get that information. And so
22 I'm curious as to whether maybe we could include
23 that as part of the denial paperwork, since they're
24 going to need it anyway, if they're going to appeal.

25 **MR. FLOHR:** Yes, it's Brad. Yeah, we don't do

1 that unless maybe on appeal, if we issue a statement
2 of case, it may have that information at that point.
3 But I can take that back, and we can talk about it,
4 if we can share that, as far as...

5 **DR. BREYSSE:** Great. So I think we now move to
6 the CAP update and concerns. Now, many of your
7 concerns have been expressed already, as you guys
8 are wont to do, which is fine. But now we have a
9 few -- a little bit of time, if there's something in
10 addition you'd like to raise. Chris?

11 **MR. ORRIS:** Brady, this is Chris Orris. One
12 last question for you before we move on to this.
13 How quickly can the family member program move
14 forward if and when additional conditions are added?
15 Do you have to go through the same rules process
16 that the VBA goes through right now?

17 **MR. WHITE:** Chris, this is Brady. That's a
18 great question, and I'm not a legislative expert but
19 I believe the answer to that would be yes. Anything
20 that changes our statute or regulations would need
21 to kind of go through some kind of a concurrence
22 process with OMB to get it republished in the
23 Federal Register. I'm just not sure, you know, what
24 period of time that would cover.

25 **MR. ORRIS:** Just a final question. The family

1 member program, is that finalized now or is that
2 still in that pending status? I know you expedited
3 it to get your program going.

4 **MR. WHITE:** You mean with the final reg
5 published?

6 **MR. ORRIS:** Correct.

7 **MR. WHITE:** I don't believe so. I keep pinging
8 our legislative affairs people about that, and they
9 have not let me know that the final determination,
10 final draft was submitted to OMB, or the Federal
11 Register, I'm sorry, for publication. But for all
12 intents and purposes, you know, we're operating,
13 been operating since October, you know, as of last
14 year, and obviously we got room to improve, and
15 we're still trying to, you know, complete our
16 systems. We've got about half of it built now, so
17 there's a lot of work-arounds. But there's an issue
18 of funding right now. They might have taken some of
19 my funding away to complete that. So we're trying
20 to get to the bottom of that.

21 **MR. UNTERBERG:** Brady, this is Craig Unterberg.
22 Two questions. One, do you have sufficient
23 staffing? And also what is the typical time frame
24 for a bill that's fully submitted to get paid. At
25 least in my case I see a lot of pendings and those

1 type of things, so what are you seeing on the time
2 frame with the view that some people may really need
3 the money very quickly?

4 **MR. WHITE:** Sure. And that's an excellent
5 question. Just to let you know, we have -- I'm
6 looking at this specifically. Hold on one second
7 here. As far as time frames go, you know, we've got
8 some performance measures in place. And there's so
9 many aspects to this program, and there's so many
10 other entities that we touch base with, and to make
11 sure things are rolling along. For instance on the
12 administrative side of eligibility, you know, I
13 mentioned Micah and his team help us, you know, to
14 determine, you know, whether the veteran was like --
15 veteran in good standing or were they in Camp
16 Lejeune during the covered time frame, et cetera,
17 and also they're helping us determine if the family
18 member is on board. And we've got basically every
19 touch point we have a certain number of days that it
20 needs to be completed. And so that's on the
21 application side.

22 When it comes to the claim side, we have
23 basically contracted with the financial services
24 center, which is a governmental agency, to handle
25 our claims as well as our call centers. And for

1 claims payment, with the accuracy, our goal is
2 98.5 percent payment accuracy. And then timeliness,
3 98.5 percent are adjudicated within 30 days.

4 **MR. UNTERBERG:** Thank you.

5 **MR. WHITE:** When it comes to claims, most of
6 the claims we receive, we're actually the last
7 payers. There's actually very, very few family
8 members at this time that we're the primary payers.
9 That means they all have basically other health
10 insurance, so hopefully, you know, most of those
11 bills are being covered by their other health
12 insurance, and then we're just kind of adding on to
13 that, to make sure they don't have any medical
14 expenses for any of these 15 conditions.

15 **DR. BREYSSE:** All right, thank you, Brady. So
16 shifting to the CAP concerns, anything that we
17 haven't talked about already that you'd like to
18 raise?

19
20 **CAP UPDATES AND CONCERNS**

21 **MS. FRESHWATER:** I have a couple of brief
22 statements. I had brought up yesterday that I would
23 like to ask that ATSDR kind of up their efforts in
24 the social media area as far as letting people know
25 about the meetings and the activities, because I

1 think it's -- you have a big platform, and it should
2 be used. And, you know, we try and get the word out
3 ourselves, but I think we could have a lot better
4 cooperation between the CAP's social media platforms
5 and the Agency's platforms.

6 And the other concern -- it's not really a
7 concern; it's just something I'm getting a lot from
8 the community. With Flint, Michigan being such, you
9 know, a huge issue right now, I'm having a lot of
10 people ask what our lead exposure was. So I'm just
11 wondering if someone from the Agency can maybe talk
12 a little bit about how much lead Camp Lejeune
13 children were exposed to, or, you know, and just
14 state some generalities, if you wouldn't mind.

15 **DR. BREYSSE:** So can we get -- where would we
16 need to go to get the childhood blood lead screening
17 levels from people and children at the base in Camp
18 Lejeune? Okay.

19 **UNIDENTIFIED SPEAKER:** All right, that was
20 under three (unintelligible).

21 **DR. BREYSSE:** Yeah, that was a narrower
22 request. Well, we're being asked, I think, to
23 compare the distribution of blood lead levels in
24 children at Flint to children in Camp Lejeune. So
25 obviously we have a lot of information on Flint. I

1 don't know if we have any data on Camp Lejeune, but
2 can we make that something we can look into, see
3 what --

4 **MS. STEVENS:** So you're asking for comparison
5 of children at Camp Lejeune to children in Flint,
6 Michigan?

7 **MR. PARTAIN:** To my knowledge I don't believe
8 there was any blood tests done on the children at
9 Camp Lejeune for lead.

10 **MS. FRESHWATER:** I'm just asking about lead
11 level. I'm not looking for like a, you know, a
12 concrete scientific report. I just -- I'm really
13 representing the community who has concerns, and
14 says, well, how much lead was in our water, I think,
15 compared to Flint. Do you see what -- do you know
16 what I'm saying?

17 **MS. STEVENS:** So you're asking for water, lead
18 levels in the water.

19 **MS. FRESHWATER:** Yes.

20 **MR. PARTAIN:** Yeah, it's in the public health
21 assessment, but how much -- you know, put a number
22 behind it.

23 **MS. STEVENS:** Yeah, based off of Rick's
24 presentation today.

25 **DR. BREYSSE:** Do we have estimates of the

1 blood -- the lead water levels in Camp Lejeune?

2 **MR. GILLIG:** We do have some information, I
3 believe, collected post-2005.

4 **DR. BREYSSE:** So we can compare that to what
5 we're seeing in Flint, is what they're asking us to
6 do.

7 **MR. GILLIG:** Right, and I haven't seen the
8 information for Flint but I don't know why we
9 couldn't do a comparison.

10 **DR. BREYSSE:** We got it.

11 **MR. PARTAIN:** Does that information just go to
12 2005? Was there anything -- I know in the 90s, I've
13 seen some memos in the documents to where they were
14 talking about NTBs and things like that, that --

15 **MR. GILLIG:** I believe the data prior to 2005
16 is -- I know we reviewed it. I didn't think it
17 was -- I don't think it's all that reliable, I mean,
18 the way it was collected. While we have a lot more
19 confidence in the post-2005 data, because it's the
20 most recent data set we've really looked at very
21 closely.

22 **MR. PARTAIN:** I believe part of the
23 1.5 million gallons of fuel floating around at the
24 Hadnot Point fuel farm included leaded fuel as well
25 as unleaded. So I -- and we know that benzene, we

1 know that fuel was in the water, so, you know,
2 making the extrapolation that there was more than
3 likely a lead exposure while that fuel was being
4 pumped and delivered to the families and Marines at
5 Lejeune prior to 1985.

6 **MR. GILLIG:** And Mike, I don't know what the
7 drinking water -- the entire analysis set of the
8 drinking water shows as far as lead. Again, we'll
9 look into it.

10 **MS. FRESHWATER:** Well, I appreciate it. I
11 know -- I'm not trying to throw a big job at you
12 guys. I know everybody's really busy here, and you
13 have a lot of pressing things. It's just I'm sure
14 you can imagine how many questions we're getting
15 about this now when they -- because people had not
16 thought about the consequences of lead on children,
17 and so now they're wondering, oh, my God, I had all
18 these other chemicals; did we have lead? And so if
19 you could just give me some sort of, you know,
20 information to give them so that I'm not just
21 talking -- you know, not informed.

22 **MR. GILLIG:** Starting on page 47 of the health
23 assessment, that's the lead section, and I believe
24 our presentation this morning talked about 14
25 samples between 2005 and 2013 that were above 15

1 parts per billion, which is actually a relatively
2 low number.

3 **MS. FRESHWATER:** Okay.

4 **DR. BREYSSE:** But I think we can maybe be a
5 little more thorough in that summary of the data,
6 and we can get it to Lori.

7 **MS. FRESHWATER:** Yeah, I mean, I would love to
8 find out that it's lower. Of course, obviously, you
9 know. Thank you.

10 **MR. FLOHR:** Hey, Pat. I apologize but
11 Dr. Erickson and I are going to have to leave to get
12 to the airport, especially if there's heightened
13 security there today.

14 **DR. BREYSSE:** I understand.

15 **MR. FLOHR:** And if there are any questions from
16 the community here, the public, for us, please jot
17 them down and send them to us, and we will answer
18 them.

19 **MS. FRESHWATER:** Brad, I'm sorry for getting a
20 little heated earlier.

21 **MR. FLOHR:** I understand.

22 **MS. FRESHWATER:** I apologize. I really do.

23 I --

24 **MR. FLOHR:** I understand, Lori.

25 **MS. FRESHWATER:** And Dr. Erickson, I would like

1 to speak with you, just on the cancer registry.
2 It's something that I'm very, very, very interested
3 in, and I have some other people who want to work on
4 that as well. So if we can follow up on that.

5 **MR. WHITE:** Hey, Lori? Hey, Lori?

6 **MS. FRESHWATER:** Yes, Brady?

7 **MR. WHITE:** This is Brady.

8 **MS. FRESHWATER:** Yes?

9 **MR. WHITE:** Just real quick. On that issue
10 with the VA employee on the social media. Whoever
11 is the administrator of that page, could you just
12 have them, you know, removed from the page?

13 **MS. FRESHWATER:** Have the person removed from
14 the page?

15 **MR. WHITE:** Yeah.

16 **MS. FRESHWATER:** The CAP kind of formed in and
17 made sure that the record was correct, so I think
18 that's better because it's always better to leave a
19 record that represents truth as opposed to deleting.
20 That's my opinion.

21 **MR. ENSMINGER:** Well, I told him he didn't know
22 his ass from a hole in the ground. And then, you
23 know, then -- and furthermore, I've forgotten more
24 about Camp Lejeune than he obviously knew. So he
25 shut up.

1 **DR. BREYSSE:** Okay. But before the VA leaves,
2 I just want to acknowledge, yeah, we've had, I
3 think, a good working relationship, with some
4 give-and-take, back and forth. And I'm happy with
5 where we are right now, and I salute the decisions
6 you guys have made about the compensation
7 presumption.

8 **MS. FRESHWATER:** Yes, thank you.

9 **MR. ENSMINGER:** I'd also like to note that, you
10 know, one of our members, Tim Templeton, lost his
11 father the evening before he left to come here, and
12 he still made the meeting.

13 **MS. FRESHWATER:** And Tim is a very, very hard
14 worker as it is, so yes, I'd like to join in on
15 that.

16 **MR. ENSMINGER:** We offer you our condolences.

17 **MR. TEMPLETON:** Thank you, everyone.
18 Appreciate that.

19 **DR. BREYSSE:** So as the VA are leaving, Sheila,
20 can you review the action items?

21
22 **SUMMARY OF ACTION ITEMS**

23 **MS. STEVENS:** Yes. Okay, so Ray, this is
24 Sheila. So the action items for today were re-
25 invite the disability and medical assessment section

1 of the VA, so the DMA.

2 The second one was relook at Camp Lejeune, the
3 VA action items, and make sure that we were working
4 off the same page, and that I didn't make a mistake
5 or it just didn't get there.

6 Nondisclosure agreement, what was this one
7 exactly? I need some clarification on that one.

8 **MR. UNTERBERG:** Yes, the question is to ask the
9 government lawyers if we can get a nondisclosure
10 agreement in place.

11 **MS. STEVENS:** Got it. So it's ask government
12 lawyers for nondisclosure agreement. The second one
13 is explanation why United States Marine Corps will
14 not send uniform rep to meeting, addressed to
15 Marines, and not to the CAP. So Melissa, you got
16 that one.

17 The second one is United States Marine Corps,
18 follow up on elevated blood lead levels in children.

19 Next one is cancer incidence protocol. That
20 will be sent out to the CAP, the VA and DoD. Camp
21 Lejeune family member program, request for active
22 versus remission status. Tim, is that correct, Camp
23 Lejeune member active versus remission status, got
24 it? Okay.

25 Then I'll work with Christian on about -- I've

1 already talked to him briefly about get the word out
2 on social media. We also will -- we're going to put
3 information out sooner. Like for the August 11th
4 meeting we're going to get that information sooner
5 on our website so people in the audience can
6 register and have a longer period to register for
7 our meeting -- longer than the 30 days we currently
8 have.

9 And then finally blood levels in Camp Lejeune
10 water compared to Flint is an action item.

11 **MS. RUCKART:** So I just want to add that I
12 captured some additional action items, so I didn't
13 want people to think that this is the final list,
14 and then I go back and read the transcript and get
15 finer details and really kind of flesh it out, so
16 there will be more than just that list. That's
17 great, just to get started but just so people don't
18 think that's the final list.

19 **MS. STEVENS:** Okay, so just a reminder --

20 **MR. ENSMINGER:** What happened to Dr. Clancy and
21 her oversight role?

22 **MS. STEVENS:** Oh, thank you.

23 **MS. RUCKART:** Jerry, like I just said, I
24 captured other action items that Sheila doesn't
25 have, and I go through the transcript, and I pull

1 out any other things, whether they're actually
2 stated as an action item. If it's something that's
3 obviously needing follow-up, I pull that out.
4 That's why I review the transcript, because it's
5 very hard to capture everything that we mention here
6 today. So we do have a more thorough process, and I
7 really get everything. That's why our list is, you
8 know, this long, longer than what we can capture
9 right now.

10 **MS. STEVENS:** Okay, so just a reminder,
11 August 11th here in Atlanta, so get the word out.

12 **DR. CANTOR:** I have a request regarding the
13 action item list -- this is Ken Cantor. If you
14 could, when it's finalized and it goes out to the
15 VA, could you distribute that also to the full CAP?
16 Thank you.

17
18 **QUESTIONS FROM AUDIENCE**

19 **DR. BREYSSE:** Great. So now I'd like to open
20 the meeting to questions from the audience. If
21 there's people who are attending who would like to
22 make a comment or ask a question, now is your time.

23 **MR. BAILEY:** Yeah, my name is Daniel Golf
24 Bailey, Jr. I was a hospital corpsman stationed
25 with the Marines '86 to '88. My question is, I have

1 a pituitary abnormal functioning, a hypoactive
2 level. The VA --, of course they ran out on us --
3 did they really before -- anyway, my question was
4 for them, was mine's precancerous. They're taking
5 the see-and-wait approach, 'cause you guys were
6 talking about how if they're diagnosed with the
7 cancer, and then you know, if they're still in
8 remission, that they get the benefits and stuff like
9 that. Well, mine could be pre -- and it is somewhat
10 functioning, playing with my hormone levels. And my
11 concern is on the disability side and also and all
12 of a sudden am I gonna receive a bill from the VA
13 because it's not one of the 15 presumptives that's
14 listed. Thank you.

15 **DR. BREYSSE:** I'm really sorry; I don't know
16 how to answer that. Brady, are you still there?

17 **MR. WHITE:** Yeah, I'm here.

18 **DR. BREYSSE:** Did you hear the question?

19 **MR. WHITE:** I did but I couldn't quite follow
20 it, to be honest with you. I heard some talk
21 about --

22 **DR. BREYSSE:** Could you repeat the question,
23 please?

24 **UNIDENTIFIED SPEAKER:** Yeah, if you have a
25 precancerous condition that's being handled so that

1 it doesn't get to the cancer stage, is that going to
2 be covered, considering that if he doesn't handle it
3 it'll become cancer, and then it seems like a kind
4 of perverse result.

5 **MR. WHITE:** Right. Here's my understanding of
6 how the healthcare process works for Camp Lejeune
7 veterans, right? Basically anybody that's been
8 stationed at Camp Lejeune, they have to fill out the
9 form, but then they are signed up as a Camp Lejeune
10 veteran. And what that does for you is it puts you
11 in, you know, our priority groups. We have
12 different priority groups in the VHA. This puts you
13 in the VA -- I'm sorry, the priority group 6, and
14 basically what that gives you is, you know, the
15 ability to be seen in the VA medical center for any
16 health condition. But what the benefit is if you
17 are seen for treatment of one of those 15
18 conditions, you don't have any copayments. Now, if
19 it's not treatment for one of the 15 conditions,
20 then you could still be treated for that, but there
21 just might be some copayments involved. Does that
22 help?

23 **MR. BAILEY:** Yes, sir.

24 **UNIDENTIFIED SPEAKER:** (Unintelligible). I
25 wanted to read this message that came through from

1 Secretary McDonald to Congressman Sanford D. Bishop,
2 the 2nd district of the state of Georgia, who is my
3 congressman. He said, he just got there, has made
4 lots of improvement (unintelligible) and he did, but
5 still has a lot to do. Let's give him a chance.
6 Also in this (unintelligible) he said he's aware of
7 the issue and very (unintelligible) for the
8 following research report. Remember how long it
9 took for Agent Orange. We are determined that it
10 won't take that on this.

11 And that -- those are words from the Secretary
12 Robert McDonald to Congressman Sanford D. Bishop,
13 ranking member of the armed forces. Second district
14 of the state of Georgia.

15 **MR. ENSMINGER:** What's the date of that?

16 **UNIDENTIFIED SPEAKER:** This was dated to me. I
17 received this transmission right here. I received
18 this transmission to March the 8th at 1:32 p.m., sir.

19 Now, what position -- I mean, how do we
20 understand what I just read to you, that came from a
21 U.S. Congressman, who is a ranking member, who is
22 talking to Robert McDonald, and also I met and
23 talked to Robert McDonald in Columbus, Georgia at a
24 town hall meeting, and once I brought up toxic water
25 exposure Camp Lejeune, he said I got to go. Well,

1 what position are we taking? I'm hearing all this
2 rhetoric but I'm not seeing what -- I'm gonna tell
3 you, sir, I have (unintelligible), 48 years I've
4 been.

5 **MS. FRESHWATER:** Can I just stop you for a
6 second, okay? These aren't the people that -- these
7 are the people that are helping us. Okay?

8 **UNIDENTIFIED SPEAKER:** Okay, okay.

9 **MS. FRESHWATER:** I want you to be able to --
10 listen, I want you to be able to vent what your pain
11 is and what's going on, but I'm just telling you
12 that these are the people who are helping us get
13 what we've gotten so far, okay?

14 **UNIDENTIFIED SPEAKER:** (Unintelligible).

15 **DR. BREYSSE:** Ma'am?

16 **MS. ELLIOTT:** My name's Debbie Elliott, Debbie
17 Love, and I'm here for my husband. He has -- what
18 I've read on the presumptions, one time I see
19 angiosarcoma of the liver, and then the other times
20 I see liver cancer. So my question is, my husband
21 has an angiosarcoma but it's called epithelioid
22 hemangioendothelioma. There's only less than 500
23 people that have this cancer. Since angiosarcomas
24 are in the lining of the blood vessel, would he be
25 considered -- his has made a home in his liver but

1 he doesn't have liver cancer. So that's my
2 question, you know, because it's an angiosarcoma.
3 I've seen it two ways and I don't know how you guys
4 are listing it.

5 **DR. BREYSSE:** Again, we aren't the people
6 who --

7 **MS. ELLIOTT:** I know, I know. I knew you'd say
8 that.

9 **DR. BOVE:** Yeah, I mean, it's liver cancer now.

10 **MS. ELLIOTT:** It's liver cancer -- but --

11 **DR. BOVE:** Yeah, usually --

12 **MS. ELLIOTT:** -- but yet his oncologist at the
13 VA won't call it liver cancer because it's not.

14 **DR. BOVE:** What are they calling it?

15 **MS. ELLIOTT:** It's epithelioid hemangioendo-
16 thelioma. It is in his liver but he's already had
17 two calcified (unintelligible) stones removed, and
18 he had a small section of his bowel removed because
19 it had shrunk. You know, the (unintelligible) had
20 shrunk. But it's not really considered a liver
21 cancer.

22 **DR. BOVE:** Yeah, originally the way the
23 angiosarcoma of the liver came up was that vinyl
24 chloride --

25 **MS. ELLIOTT:** Right.

1 **DR. BOVE:** -- is known to cause angiosarcoma of
2 the liver. It was found in industrial work force --

3 **MS. ELLIOTT:** Right.

4 **DR. BOVE:** -- years ago, it was a huge cluster;
5 it was obvious, and there's no doubt about it. So
6 Secretary McDonald originally had that as one of the
7 cancers he wanted as presumption, along with
8 leukemia and kidney cancer, and those were the
9 three. When we worked with the VA and briefed them
10 and went back and forth, the VA decided to include
11 other liver cancers --

12 **MS. ELLIOTT:** Liver cancers.

13 **DR. BOVE:** -- as well as angiosarcoma of the
14 liver.

15 **MS. ELLIOTT:** So are they saying angiosarcoma
16 or are they saying both?

17 **DR. BOVE:** It's the liver cancer --

18 **MS. ELLIOTT:** Okay.

19 **DR. BOVE:** -- that's, angiosarcoma of the liver
20 and other liver cancers.

21 **MS. ELLIOTT:** And other liver cancers.

22 **DR. BOVE:** Yeah, because trichloroethylene is
23 associated with liver cancer, and so that's...

24 **MS. ELLIOTT:** I read some of your -- one of
25 your ATSDR's article on toxicology, and in the

1 references it talks about epithelioid hemangio --
2 and you know, a couple of the doctors on that. And
3 one was talking -- I can tell you the page numbers
4 and everything, but I have it written down. So
5 that's why I was wondering is it -- you know,
6 whether we keep going or not?

7 **DR. BREYSSE:** Our VA representative in the back
8 is making notes.

9 **MS. ELLIOTT:** Okay.

10 **DR. BREYSSE:** And he'll get back to you about
11 that specific.

12 **MS. ELLIOTT:** Okay.

13 **MS. FRESHWATER:** And we'll go back and do our
14 best to make sure that all of your questions get to
15 the VA, and try and get you an answer, and we'll
16 post them on our website or social media. Just to
17 let everybody know your questions, we'll try and
18 follow up for you.

19 **MS. ELLIOTT:** Okay, I have a question, like
20 on -- when you're talking social media, because I
21 can find stuff, you know, when I go ATSDR. Do you
22 guys have another...

23 **MS. FRESHWATER:** Okay, look, do you have a pen
24 handy? I'll give you all of our information.

25 **MS. ELLIOTT:** I'll come over there after --

1 **MS. FRESHWATER:** Well, I'll go ahead and say
2 it, though. It's camplejeunecap@gmail.com

3 **MS. ELLIOTT:** At gmail.

4 **MS. FRESHWATER:** That is our email address.
5 And then if you go onto Facebook and search Camp
6 Lejeune CAP, and --

7 **MS. ELLIOTT:** I think I did do that.

8 **MS. FRESHWATER:** -- it's an old logo of Camp
9 Lejeune, you know, kind of a statue, so that will
10 let you know you're at the right place. We have a
11 website, Camp Lejeune.wordpress.com. And so --

12 **MS. ELLIOTT:** Yeah, at Word Press.

13 **MS. FRESHWATER:** Yeah, so you've seen that one.
14 And then we also have Lejeune CAP on Twitter. So
15 I'll give you all the information and write it down,
16 but just for everybody listening --

17 **MS. ELLIOTT:** Oh, okay.

18 **MS. FRESHWATER:** -- if you -- the easiest one
19 to remember is camplejeunecap@gmail.com, and then we
20 can give you the rest of the information you need.

21 **MS. ELLIOTT:** Okay, thanks.

22 **MR. ENSMINGER:** And don't forget to give this
23 gentleman back here your contact information.

24 **MS. ELLIOTT:** Okay, I'll talk to him. Thank
25 you.

1 **DR. BREYSSE:** We have time for a couple more?

2 **MR. EMBERY:** My name's Brad Embery, I'm from
3 Hazard, Kentucky. The ones I want to talk to has
4 left. What I'm worried about is I went to our
5 hospital in Lexington. Went in and asked for
6 information. The clerk at the office looked at me.
7 He said it's not a VA problem; it's not a military
8 problem; it's a civilian problem, and I cannot help
9 you. We need to get the VA to get these people
10 trained to give us the information we need because
11 they are treating us like crap.

12 **MS. FRESHWATER:** Are you saying -- you said you
13 mentioned Camp Lejeune water.

14 **MR. EMBERY:** Yes.

15 **MS. FRESHWATER:** And that's what they said?

16 **MR. EMBERY:** That's what -- I have filed a
17 verbal complaint and a written complaint. And I
18 know somebody has heard this name: Al Bott.

19 **MS. FRESHWATER:** Are you a civilian or
20 military?

21 **MR. EMBERY:** Yeah. I was in the Marine Corps.

22 **MS. FRESHWATER:** Okay.

23 **MR. EMBERY:** And that's the way they treat you
24 down there. And when I filed my complaint, I got a
25 call from another former Marine, Al Bott, and he

1 said -- he started going on, it's all technical
2 issues. All Camp Lejeune is technical issues. But
3 we need to get the VA, somebody needs to get on and
4 get these people trained, 'cause when you go -- do
5 go see a doctor, and you mention the word VA, first
6 thing they say to you: You need to go to psych.

7 **MS. FRESHWATER:** Could you write down the
8 information of where you went, and as much
9 information as you can, and give it to me?

10 **MR. EMBERY:** Yeah.

11 **MS. FRESHWATER:** Thank you.

12 **MR. WHITE:** This is Brady, I actually am with
13 the VA, although I'm on the family member side, but
14 I did have Micah to be there today, to address these
15 kinds of issues, to hopefully help you with your
16 eligibility, specifically on the -- kind of on the
17 bigger level, though. You know, we have, Micah and
18 his team, they have provided training for the
19 various individuals and physicians that are kind of
20 responsible for this whole effort in all the various
21 medical centers. It sounds like this one might have
22 fallen between the cracks, so I'd be very interested
23 in getting some more details on that because, you
24 know, even though I'm not over that part of the
25 program, I'm trying to hold some people accountable,

1 and finding out what's going on, so if you could get
2 your information to Micah, and he can probably
3 forward it to me, that would be great.

4 **MS. FRESHWATER:** We're going to facilitate
5 that, Brady. Thank you.

6 **MS. HIGHLAND:** My name is Lisa Highland, and I
7 have been coming to a lot of the meetings for years,
8 maybe 19 years, could be, something, you know, very
9 long time.

10 I have a daughter who was not born in Lejeune
11 but she was in Treasure Island. That military base
12 has been contaminated. Nobody ever did anything to
13 anyone who has been working on that base.

14 As a Marine my husband went to recruiting
15 office. So my daughter has been sick for so long I
16 don't know what to do with her. I know that there
17 was contamination, radiation, water -- chemicals in
18 the water. And what is the Navy, the Marines, are
19 doing for our kids? I'm seeing my daughter telling
20 me sometimes, let me go, Mommy. This is sad. I
21 cannot accept that when this country has so much
22 money. And everything that our military people do
23 for other people. What are they doing? What is the
24 Marines and the Navy doing for our children? I
25 don't want to see my daughter die.

1 I went to a hospital, and they don't know how
2 to handle this. I was denied of the military I.D.
3 so I can continue medication when she was only 21,
4 because she had to go to school, and Dr. Cash and
5 the director of the hospital, Dr. Cash and everybody
6 tried to help me by doing letters so I can get
7 another I.D. card. And the director of the hospital
8 denied me that. So that's the punishment that we
9 have to suffer if we are with kids? Because I say
10 things, yes. But I was (unintelligible) expecting
11 to see the Commandant; he never ever has been doing
12 anything for us. He's the one who have to come and
13 talk to us. Not you lady; I appreciate what you do,
14 but that's not your place. We have a Commandant and
15 there's a moral duty of this country to look at us
16 when we have problems. I am sorry, and thank you so
17 much for everybody who is here, but we have to start
18 working, and stop this, you know, contamination,
19 because after the Navy leave a base, they put all
20 these people cash only, and they also put other
21 homeless and no-income people. This is unacceptable
22 for this country. Thank you.

23 **MS. FRESHWATER:** Thank you.

24 **DR. BREYSSE:** Thank you.

25 **MS. FRESHWATER:** We're going to keep working on

1 trying to get someone here from the United States
2 Marine Corps. We're going to keep working on it.

3 **MS. HIGHLAND:** My husband (unintelligible).

4 **MS. FRESHWATER:** I understand. I just want you
5 to know we're going to keep fighting for it whether
6 they do or not, okay?

7 **MR. WHITE:** And ma'am, this is Brady with the
8 VA. Just let you know on our side what we've been
9 trying to do is we set this program up, and it's the
10 first of its kind, really. It was with the
11 anticipation that other bases may come online, other
12 groups and family members might be included. And so
13 how can we quickly incorporate them into our
14 existing program, so we're kind of thinking
15 long-term with that effort. But, you know, it's
16 really not up to us to make that happen. I think
17 it's probably up to Congress and, you know, and
18 others.

19 **DR. BREYSSE:** All right, thanks. Thank you,
20 Brady. So we're at the end of the time. So unless
21 there's something really burning, I'll call the
22 meeting in adjournment.

23 **MS. STEVENS:** Thank you.

24 (Whereupon the meeting was adjourned at 2:46 p.m.)
25

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of March 24, 2016; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 24th day of April, 2016.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**